

1 MEMBER MICHAUD: Vince Michaud for
2 Richard Williams.

3 CAPT. SAWYER: Thank you, Vince.
4 Frank Scioli, Joe Anelli.

5 MEMBER ANNELLI: Present.

6 CAPT. SAWYER: Willie May.

7 MEMBER POSTER: Diane Poster for
8 Willie May.

9 CAPT. SAWYER: Thank you, Diane.
10 Colonel Skvorak.

11 COL. SKVORAK: Present.

12 CAPT. SAWYER: Patty Worthington,
13 Bonnie Richter, Richard Besser, Hugh
14 Auchincloss, Carol Linden, Bruce Gellin, Boris
15 Lushniak, Diane Berry.

16 MEMBER BERRY: Here.

17 CAPT. SAWYER: Sue Haseltine,
18 Rosemary Hart.

19 MEMBER HART: Present.

20 CAPT. SAWYER: Claudia McMurray,
21 Lawrence Deyton, Shawn Fultz, Peter Jutro.

22 MEMBER JUTRO: Present.

1 nation, or the old one, anyway.

2 MEMBER GELLIN: Well, I wouldn't
3 be wedded to that. I would just think more
4 about what -- you've seen the planning
5 scenarios, I hope, from CDC about what they're
6 thinking about to envision venues. But I
7 think the question is, you can pick some of
8 the subsets. I mean, ACIP will have that
9 whole discussion about prioritization, as
10 well. But, again, I just wanted to hear sort
11 of nominally, if the idea was one that you
12 were endorsing. And then, second, how you
13 would approach what percentage of the 60
14 million available doses on that now Saturday,
15 August 15th, you would opt to put into
16 bottles?

17 MEMBER PAVIA: This is Andy. The
18 second piece that we want to understand, I
19 think, is the risk. If you bottle at 15
20 micrograms per 0.5 ML, it's certainly
21 practical and feasible to give .25 ML or 1 ML
22 of the most populations, not to the youngest

1 children. Would there be regulatory barriers
2 to doing that? Are we in that vaccine at risk
3 of being wasted, if we're within a one
4 dilution, basically.

5 MEMBER GRABENSTEIN: Anyone from
6 FDA wish to comment?

7 DR. ROBINSON: This is Robin
8 Robinson from BARDA. I just wanted to remind
9 people that in 2004, the NIH undertook studies
10 doing something similar, showing that there
11 was a sort of a dose varying effect with the
12 amount of antigen at that time.

13 MEMBER ROSE: Fine. The reason I
14 asked is my gut feeling in the absence of
15 regulatory or technical issues, things that I
16 haven't thought about, is that the risk is
17 relatively small compared to the upside of
18 committing at least a portion of that 60
19 million doses until the finish. And then the
20 second part of the question is how much? And
21 I'd love to hear other people.

22 MEMBER CANTRILL: Yes. This is

1 Steve Cantrill. Do we have an FDA opinion on
2 that in terms of if we move within the one
3 dilution range, that's still okay?

4 MEMBER GRABENSTEIN: This is John
5 Grabenstein. I'll speak from my own
6 experience, which is that it's not so much the
7 label on the vial, it's the package insert,
8 the prescribing information that accompanies
9 it, and declares the dose. You don't
10 literally have to have it on the vial, more or
11 less. I also say I'm subject to FDA
12 corrections, but it's mainly the accompanying
13 prescribing information, which can be changed.

14 MEMBER CANTRILL: So, we do have
15 some wiggle room there.

16 MEMBER GRABENSTEIN: I think.

17 MEMBER PAVIA: Yes. But
18 prescribing information could be developed
19 fairly rapidly between our nominal September
20 15th when the data package arrives. And I
21 don't know how long that needs to take, but
22 it's certainly much quicker than 30 days to do

1 the fill and finish process.

2 MEMBER CANTRILL: Steve Cantrill,
3 again. It makes sense to me that we commit
4 some amount of the vaccine to being finished
5 at the 15 microgram level. Again, since we
6 can change that based on -- if we gather
7 further immunogenicity information further
8 down the line, we can change the prescribing
9 information. And the trigger will not
10 necessarily be pulled on September 15h to
11 start the vaccination, but at least, it seems
12 to me, that gives us the most defensible
13 position in terms of risk-benefit, in terms of
14 hedging our bets.

15 MEMBER JAMES: This is Jim James.
16 I just don't see the downside to what Steve
17 just enunciated.

18 MEMBER GELLIN: This is Bruce. I
19 think the only downside, which I don't know,
20 is what Andy asked, is what's the risk? Will
21 you somehow waste this if you go ahead and use
22 it? So, I think we just need to be clear that

1 that's not the case, to be able to make this
2 equation.

3 MEMBER GRABENSTEIN: So, I'm not
4 hearing anybody saying oh, don't, stop, wait,
5 don't take this risk. So, just to crystallize
6 the conversation for the purposes of the
7 document and our vote, let me propose that we
8 do it this way; and that is, that we recommend
9 to the Department that on or about August
10 15th, they proceed to package several tens of
11 millions of doses, a precise number to be
12 determined by the Department, but we're giving
13 an order of magnitude based on what you know
14 at that point, which will be another month
15 from now.

16 MEMBER CANTRILL: Is that a
17 motion, John, because I would second that.

18 MEMBER SCANNON: Yes. This is Pat
19 Scannon. I think the only thing I would add
20 to that is that the 60 to 80 million doses
21 that Dr. Robinson talked about is what they
22 will have by that date. There will be more

1 vaccine following that, so that if you can
2 adjust dose, I don't see any reason you
3 shouldn't go ahead and bottle, and prepare the
4 available doses that are available by
5 September 15th.

6 MEMBER ROSE: This is Eric Rose.
7 Between August 15th and presumably early
8 September, when we'll have immunogenicity
9 data, how much actually can be filled and
10 finished?

11 MEMBER GELLIN: This is Bruce.
12 Let me just propose that one of the things we
13 talked about endlessly since we started all
14 this, is the importance of revisiting
15 strategies. So, I guess the question is, I
16 think what I understand is that you've got a
17 statement about what you want to do on August
18 15th. And then maybe that's up to you, but
19 then you consider whether you keep going, or
20 revisit it on date something, to see whether
21 or not that continues to make sense.

22 MEMBER ROSE: Yes, but when you

1 get the immunogenicity data, that would seem
2 to be the next decision point.

3 MEMBER SCANNON: So, the
4 prevailing sentiment appears to be to go ahead
5 with the 15 microgram dose until such time as
6 the immunogenicity data are available to see
7 if there's any dose adjustment.

8 MEMBER ROSE: I would agree with
9 that.

10 CAPT. SAWYER: Okay. I need
11 people to say their names, please. The person
12 who made the previous comments, Eric Rose.
13 Now, who agreed?

14 MEMBER CANTRILL: Steve Cantrill.

15 CAPT. SAWYER: Okay.

16 MEMBER SCANNON: Well, it was Pat
17 Scannon somewhere in there.

18 CAPT. SAWYER: Okay, Pat.

19 MEMBER GELLIN: So, this is Bruce.
20 The way that you phrase that, it implies
21 whatever you got, put it in a vial. Is that
22 what I understood? When you start, do it, and

1 then keep going until you're told not to?
2 That's different than some portion of 60, or
3 something.

4 MEMBER ROSE: Well, that's why I
5 asked the question, as to how much of this can
6 you do over that interval between the first
7 decision point and the second decision point?
8 If you can do all of it, then I think there's
9 a discussion around it.

10 MEMBER GRABENSTEIN: This is John
11 Grabenstein. I don't believe we should take
12 a finite number today, because we don't know
13 population sizes of the various cohorts. We
14 haven't heard their discussion to approach
15 that. ACIP will be considering it, Advisory
16 Committee on Immunization Practices, at the
17 end of July meeting, presumably, so that -- I
18 think our role is to decide whether or not to
19 say we want some product in mid-September, and
20 then as the other groups weigh in, then the
21 clarity of HHS action becomes clear.

22 MEMBER PAVIA: This is Andy. I

1 agree entirely with what John just said. I
2 think it's important that the number of doses
3 be informed in part by the target groups. And
4 another key element, which is the capacity
5 that the states develop to immunize with that
6 first batch of vaccine, so if only 20 million
7 doses can be administered in the first four
8 weeks after September 15th, there's not much
9 point delivering 60 or 80 million doses. So,
10 I would adopt John's wording.

11 MEMBER GRABENSTEIN: So, I have
12 the word processor, so what I've done is gone
13 to page 2 at the H1N1 vaccine section first
14 bullet. And what I have drafted at the moment
15 is based on available data, the NBSB
16 recommends that HHS set a goal of having
17 several tens of millions of doses of
18 monovalent A/H1N1 vaccine available for
19 clinical use on or about September 15th, 2009.

20 MEMBER CANTRILL: Steve Cantrill.
21 I would say September 15th, or earlier, if
22 possible.

1 MEMBER JAMES: Or no later than.

2 MEMBER CANTRILL: Yes. Because if
3 you consider the logistics of getting that
4 vaccine out into the population, and actually
5 having it administered, again, we're playing
6 against the odds here. If it shows up in
7 early September, we're still going to be in a
8 world of hurt.

9 MEMBER GRABENSTEIN: Okay.

10 MEMBER JAMES: I would agree. Jim
11 James, with what Steve said. And, secondly,
12 just a question, do we need to clarify that
13 it's non-adjuvanted vaccine that we're
14 recommending?

15 MEMBER GRABENSTEIN: I'll add that
16 adjective, yes. I'll change it to by September
17 15th, and add unadjuvanted.

18 MEMBER JAMES: That sounds good.

19 MEMBER SCANNON: Do you want to
20 add wording about modification to that,
21 subject to later clinical data?

22 MEMBER GRABENSTEIN: I've got

1 based on available data at the entrance to the
2 sentence, beginning of the sentence.

3 MEMBER SCANNON: All right. Good.

4 MEMBER GRABENSTEIN: And, I want
5 to remind you we've got two other sections of
6 the document to cover, but are there other
7 parts of the vaccine section that you want to
8 discuss?

9 MEMBER ROSE: Well, if the
10 clinical data in September confirms that the
11 15 microgram dose is effective, then the
12 balance of what's available, as soon as
13 feasible, ought to be made available.

14 MEMBER GRABENSTEIN: That was Eric
15 Rose. Right.

16 MEMBER ROSE: Yes.

17 MEMBER GRABENSTEIN: Okay. Other
18 comments on the vaccine?

19 MEMBER ROSE: And, I guess a
20 corollary to that, if not, then a dose
21 adjustment ought to be rapidly made.

22 MEMBER GRABENSTEIN: Right.

1 MEMBER SCANNON: This is Pat
2 Scannon. I think what Eric means, is that you
3 wouldn't want this recommendation to become a
4 barrier to more extensive use of the vaccine.

5 MEMBER ROSE: There's a point of
6 iteration in this process that will come when
7 the clinical data with regard to 15 microgram
8 dose becomes available.

9 MEMBER GRABENSTEIN: Right. So,
10 that's covered in the following sentence about
11 additional studies with additional supplies.
12 And we've got, according to evolving
13 epidemiology multiple places in the document.

14 MEMBER PAVIA: This is Andy. Let
15 me ask one more question for Bruce, Robin, and
16 Anne. Would it be useful for this group to
17 reconvene by telephone after the ACIP meeting,
18 say in the first few days of August, to
19 consider a target size, and perhaps we could
20 be informed by -- at that point, by some of
21 the information coming back to Jay Butler's
22 group about state capacity?

1 MEMBER GRABENSTEIN: Is that one
2 of the tasks assigned to the ACIP, to pick a
3 population size?

4 MEMBER GELLIN: No, they're going
5 to discuss about the sequencing. They're
6 going to look -- they're going to do what they
7 always do, which is look at the epidemiology
8 and trying to figure out how best to apply a
9 vaccine for the largest benefit. And, the
10 epidemiology will come to some degree with
11 sizes. If you're going to say healthcare
12 workers, somebody is going to figure out that
13 size, so there will be those numbers that come
14 from it.

15 I think, Andy, that's a good idea.
16 And I don't know - again, I apologize for
17 missing the front end of this - but I would
18 think that that gives you an opportunity to
19 revisit a bunch of discussions that have
20 happened, not only that one, but the VRBPAC
21 that's going to happen next week. So, I can't
22 speak for Nicki, but if she's not here, I

1 would suggest that you organize something
2 around that time to be able to revisit these
3 discussions in light of lots of information
4 that will flow at at least those two meetings.

5 MEMBER PAVIA: Thank you. In any
6 case, the Department seeks guidance from us
7 which you will or won't follow as to whether
8 to commit some portion, or all of those \$80
9 million to fill and finish.

10 MEMBER GELLIN: Nicki is on?

11 MEMBER CANTRILL: This is Steve
12 Cantrill. I'd like to generalize your
13 suggestion. I think that we should probably
14 schedule NBSB teleconferences once a month for
15 the next six months. Now, Leigh, you can tell
16 me if that's completely out of line, and
17 what's involved, but I would like to get that
18 in the Federal Register so we can -- and we
19 can always cancel the meeting, if we have no
20 business. Because, obviously, this is a
21 dynamic situation, and we're going to have to
22 stay on top of it.

1 MEMBER GRABENSTEIN: So, in the
2 room, the HHS folks are taking that under
3 advisement, and we'll hear from them I think
4 towards the end of the call.

5 MS. MAZANEC: Thinking about it,
6 it can be more than once a month.

7 MEMBER GRABENSTEIN: So, we have
8 on the line Admiral Schuchat from CDC, and Jay
9 Butler, as well, if they have anything else to
10 add.

11 RADM SCHUCHAT: Yes, this is Anne
12 Schuchat. I'm sorry, I just was able to join,
13 and I'm not sure if there were questions about
14 vaccine planning, but I wanted to introduce
15 people who don't know him to Jay Butler, who
16 was the Health Officer of Alaska who's
17 rejoined us at CDC to lead our Vaccine
18 Implementation Planning Task Force, that is
19 working closely with Public Health and others
20 in terms of the implementation piece of
21 things, as well as the monitoring and
22 evaluation issues.

1 MR. BUTLER: Thank you, Anne.
2 I've been a mute participant, Star One
3 wouldn't get me in, but I have been listening
4 closely.

5 MEMBER GRABENSTEIN: Thank you.
6 So, I'm going to take one more comment on
7 vaccine. We're going to go talk about
8 antivirals, then diagnostics, and come back to
9 vaccine, if we need to. Are there any Board
10 comments about the antiviral, and other
11 therapeutic agents section?

12 MEMBER ROSE: Eric Rose. Maybe as
13 a specific nuance, now that we've clarified I
14 think substantially September 15th, if we're
15 seeing a second wave that's in late August or
16 early September, it sounds like the only thing
17 that we're going to have available at that
18 point would be antivirals. And the issue of
19 whether or not they should be used for post-
20 exposure prophylaxis, or even prophylaxis in
21 high-risk groups, or certain groups, I just
22 put out on the table.

1 MEMBER PAVIA: Eric, this is Andy.
2 Let me weigh in, if I can, on that.
3 Antivirals is sort of what I do, and I think
4 getting into the weeds of antiviral strategy
5 may not be the best use of our group's
6 expertise. I wonder whether there are other
7 groups that include more people who've done
8 the resistance work, who've done the clinical
9 trials, who've modeled it, who are the
10 appropriate people to contribute. That's just
11 my thought. But I've similarly struggled with
12 prophylaxis versus treatment since April of
13 2004.

14 MEMBER SCANNON: Yes, this is Pat
15 Scannon. I think that the -- and, Andy, I
16 think your comment is one -- I think that one
17 concern that I have is particularly thinking
18 about the immuno-compromised populations, and
19 their likelihood at having less than desirable
20 vaccine response. So, I'd appreciate your
21 comments about that.

22 MEMBER PAVIA: On that, speaking

1 one way or the other on that particular issue,
2 I just think that this Board comments on broad
3 countermeasure strategy, and I think we're
4 getting down into a CDC level of clinical
5 treatment advice. And I appreciate Anne's
6 thoughts, and Bruce's, as to whether it's best
7 handled by NBSB, or other mechanisms? We
8 could spend a lot of time on this. Five years
9 later we don't have the right answer, we need
10 one now. There are missing pieces to this
11 puzzle.

12 MEMBER GELLIN: Just quickly,
13 Andy. Do you have another mechanism in mind
14 that you're aware of?

15 MEMBER PAVIA: I was actually
16 thinking that the ad hoc advisory that Lyn
17 Finelli and others have been using for their
18 antiviral guidance, as well as what Tony does
19 for ACIP are probably appropriate advice. But
20 I'm willing to entertain any other idea on
21 this.

22 MEMBER GELLIN: Yes. So, maybe

1 somebody who can speak for them, can speak for
2 them.

3 MEMBER JAMES: This is Jim James.
4 I would just like to interject. I totally
5 agree with Andy, the vaccine question is
6 complex enough, but I think that's something
7 that is much more clear in terms of what type
8 of recommendation our Board can make back to
9 try and get the advice they're looking for.

10 In terms of the antivirals, I
11 mean, weeds is being euphemistic.

12 MEMBER GRABENSTEIN: Okay. So, I
13 want to move on. So, we're at the minute that
14 we should be going into public comment. I'm
15 going to ask the public to be patient with us,
16 just another couple of minutes while I'll see
17 if there are any Board discussion points with
18 relation to the diagnostic section.

19 MS. HIGGS: Before we leave the
20 antivirals, this is Libby Higgs from NIH, one
21 update that Robin alluded to was with IV
22 Zanamivir, at the time of our meeting GSK

1 stated that they were not going to move
2 forward with data for an EUA use of their
3 intravenous product. Then they reversed that
4 decision and they said that the NBSB meeting
5 is quite helpful with regard to their decision
6 making process. So, I wanted the Board to
7 know that. I had a call from them this week
8 saying they couldn't be on this call, but
9 wanted me to convey that to you all.

10 MEMBER GRABENSTEIN: Thank you. We
11 appreciate that.

12 MEMBER ROSE: John, this is Eric
13 Rose. I don't believe -

14 (Simultaneous speech.)

15 MR. SCHOENBURGER: I wanted to
16 question whether the National Biodefense
17 Science Board's prerogative to make a
18 recommendation with regard to the changing of
19 the fill of the seasonal vaccine to the
20 pandemic vaccine now, given that it sounds as
21 if the Board has made a tentative decision to
22 just not wait for clinical data, and to accept

1 the 15 microgram dose before such data are
2 available. Is that even a possibility? I
3 know people said that the plan was for the
4 companies to continue for the next month, I
5 believe, filling vials with the seasonal
6 vaccine. Is that a fixed thing, or can the
7 National Board recommend that no, we would
8 like to have the pandemic strain earlier?

9 MEMBER JAMES: This is Jim James,
10 again. Before answering, I don't think--maybe
11 I'm wrong--I don't think that has to be an all
12 or none. Maybe one or two of the producers
13 could be so informed.

14 MEMBER GELLIN: This is Bruce
15 Gellin, and maybe Robin will weigh in. But I
16 would -- maybe this is one where we need a few
17 facts before we make any recommendations, to
18 try to find out what the implications or
19 impacts would be of such a recommendation,
20 where there may be other flexibilities in the
21 system. So, I think I would frame it that
22 way, and get back to you about what the

1 options may be, rather than pulling the
2 trigger on this.

3 MEMBER GRABENSTEIN: And I assume
4 that they are so far along that almost all the
5 work has been invested.

6 MEMBER GELLIN: Again, I think
7 that that's where we need to know where this
8 stands, and what the risks and benefits of
9 such a -- of acting on such a recommendation
10 might be.

11 MEMBER GRABENSTEIN: Robin, a
12 quick comment on this?

13 MEMBER DRETCHEN: This is Ken
14 Dretchen. Again, if we're going to be meeting
15 potentially in two weeks, you know, the
16 beginning of August -

17 (Background noise.)

18 MEMBER DRETCHEN: -- make that
19 call.

20 MEMBER GRABENSTEIN: Okay. Thank
21 you. If there are any comments about
22 diagnostics, speak now, or you're going to get

1 overwhelmed by the other contents.

2 MEMBER ROSE: Before we leave
3 antivirals, I just want to state that I do not
4 believe, maybe a minority do, but I don't
5 think the question of how to use them,
6 particularly in the absence of vaccine, in the
7 presence of a pandemic wave is an in the
8 weeds, tactical question. I think it's a
9 strategic question.

10 MEMBER GRABENSTEIN: All right.

11 MEMBER ROSE: Drugs and quarantine
12 are the only strategy you have left then.

13 MEMBER GRABENSTEIN: Well, so,
14 Robin framed it up actually at the very
15 beginning, where he talked about should we
16 limit the use of the antivirals and save them.
17 So, let me turn to all the federal officials
18 who are around me and ask, which of the
19 advisory committees is going to address that
20 question? Have you put it to any of them yet?
21 Antivirals is typically part of the ACIP
22 supplement with the MMWR. Is that going to be

1 a question for that group, or do you want us
2 to go do a work stream with this Board to get
3 you that answer?

4 MEMBER GELLIN: This is Bruce
5 Gellin. I'm not aware that that antiviral
6 question of that ilk has been asked to a
7 federal advisory committee. When there was a
8 seeming shortage of antivirals several years
9 ago, there was a -- I think it was a
10 recommendation that came out of, ultimately,
11 IDSA. I don't think CDC actually weighed in
12 on that one, about limiting home stockpiles to
13 ensure that there was enough for seasonal flu.
14 That was, I think, 2005. So, it's not clear
15 to me, and maybe Anne could talk about what
16 ACIP might be prepared to do, but I don't
17 think that something like that, of shifting
18 the clinical use of a drug like this has been
19 something that these vaccine advisory
20 committees have done before.

21 RADM SCHUCHAT: Let me make a few
22 comments, and then Tony Fiore may want to add

1 to this. The ACIP traditionally does make
2 antiviral recommendations in conjunction with
3 their annual influenza vaccine
4 recommendations. And they did deliberate
5 quite a bit about this this year for seasonal
6 influenza because, as you know, there's been
7 challenges with seasonal H1N1, also Tamavir
8 resistance, and they issued some -- and then
9 there's been need to keep clinicians updated
10 on that matter.

11 CDC has also issued interim
12 guidance about antiviral use for -- in the
13 context of the H1N1 challenge. And those, I
14 think, have probably been updated at least
15 once since they were originally issued. At
16 the ACIP meeting in June, there was discussion
17 about updating the antiviral recommendations.
18 Remember that in most people you don't know,
19 which influenza you were exposed to for post-
20 exposure prophylaxis, or for treatment, you
21 don't know what kind of influenza you have
22 when those decisions are being made. So, I

1 think this was something that we both have had
2 the -- ACIP making progress on, and then, as
3 Andy mentioned, these various ad hoc groups
4 that included clinicians and outside experts,
5 so that more real time information could be
6 incorporated.

7 The general philosophy has been
8 focusing on treatment and use of prophylaxis
9 for those who had risk factors for
10 complications of influenza, and I think
11 there's a process in place to get those
12 updated. But, Tony could probably comment
13 further on this, because he was closer to it
14 all.

15 CAPT. FIORE: Right, thanks. This
16 is Tony Fiore, Influenza Division, CDC. The
17 ACIP did propose on antiviral recommendations
18 this past June, and focus was on treatment,
19 prophylaxis recommendations are bound to be too
20 rapidly changing, and too subject to change
21 based on supply, and resistance, and so on.
22 So, ACIP suggested that CDC maintain a website

1 that keeps that updated.

2 MEMBER GRABENSTEIN: Okay. So,
3 I'm going to put this tangent of the
4 conversation on hold for a little bit, whether
5 there's some future work stream for some
6 committee or not, on hold for a minute,
7 because the public has been very patient, and
8 I would like to ask the operator to repeat the
9 instructions for how to indicate on your phone
10 line that you'd like to make a comment. We
11 have received one by email that we want to
12 address. And, operator, if you would go
13 ahead, please.

14 OPERATOR: Again, if you would
15 like to ask questions on the phone line,
16 please press Star and the number one on your
17 telephone keypad.

18 CAPT. SAWYER: Okay. While you
19 are all queuing up for the public talk, I
20 would like to read the one comment that we
21 received this morning. This says, "Dear NBSB:
22 I am not able to phone in on July 17th, but I

1 would like to put forward my deep concerns
2 about an adjuvant being used in the flu
3 vaccines being made to counteract the novel
4 H1N1 flu virus. I am a homemaker. I have two
5 sons, both with allergies, and history of
6 asthma. I am very, very worried about the
7 novel H1N1 virus, but I am even more worried
8 about the potential use of the MF59 squaline
9 vaccine adjuvant. I think MF59 could cause
10 autoimmune diseases to develop in my sons.

11 I do understand that the vaccine
12 production is challenging, and that the
13 current production system is having problems
14 getting enough antigen produced. Even so, I
15 hope people will be informed as to which
16 vaccines have adjuvants and which do not.
17 Please let us have a choice in the matter.

18 I would definitely have my sons
19 get a flu vaccine this fall, if I knew it had
20 no adjuvants. If it comes with adjuvants,
21 particularly if the adjuvant is MF59, I would
22 advise my sons to avoid the vaccine. I would

1 also advice my community about my deep
2 concerns. In these challenging times, we are
3 all hoping that the upcoming flu season is
4 mild. If may not be, but please don't have us
5 go from the frying pan to the fire by putting
6 out vaccine that harms us long-term.

7 Everything that I have read about MF59 makes
8 me think the numbers of reactions to it would
9 far out number the reactions that occurred in
10 the 1976 flu vaccination program. Please
11 protect us. Ellen Rice, Olympia, Washington."

12 MEMBER GRABENSTEIN: Thank you
13 very much. Operator, if you'll tell us what
14 calls we might have in the queue.

15 OPERATOR: Yes, sir. Your first
16 question comes from Nicholas Kelley.

17 MR. KELLEY: Hello?

18 MEMBER GRABENSTEIN: Yes. Please,
19 go ahead.

20 MR. KELLEY: My question is
21 related to -- we've heard a lot about the work
22 going into the vaccine production, and

1 antigen, what could be there, but I heard
2 nothing about whether or not there's enough
3 syringes in the FNS for the distribution of
4 these millions of doses for the fall. And I
5 was wondering if the Board could address that,
6 or provide some comment to that.

7 DR. ROBINSON: This is Robin
8 Robinson, if I could address that, please,
9 from BARDA.

10 MEMBER GRABENSTEIN: Yes, please,
11 Robin Robinson.

12 DR. ROBINSON: Yes. We have been
13 in contact with the syringe and needle
14 manufacturers, the three that will be
15 providing, and we are making arrangements with
16 the appropriations that were just made
17 available from Congress to procure those, and
18 that would be commensurate with the amount of
19 vaccine that would be going out.

20 MEMBER GRABENSTEIN: Thank you.
21 Next question, please?

22 OPERATOR: The next question comes

1 from David Schonfeld.

2 MR. SCHONFELD: Hello. I had a
3 question regarding the vaccine study update
4 that was given. And may have been said, but
5 I didn't hear any information about the issue
6 of children, specifically, given that they're
7 going to be, obviously, a high-risk
8 population.

9 MEMBER GRABENSTEIN: Dr. Robinson,
10 can you answer that?

11 DR. ROBINSON: There will be
12 pediatric studies that will be occurring for
13 each of the vaccines, both by the
14 manufacturers and NIH.

15 MR. SCHONFELD: Are those studies
16 already planned for next week, or the
17 following week, as you described, or are they
18 coming later?

19 DR. ROBINSON: It depends on the
20 manufacturer, but the guidance given by FDA
21 was that they could start either at the same
22 time, or just right after the first dose was

1 given for the adult, we'd see if anything
2 adverse would happen.

3 MEMBER GRABENSTEIN: Thank you.
4 Next, please?

5 OPERATOR: Your next question
6 comes from Erin Mullen.

7 MS. MULLEN: Hello. My question
8 is in regards to the vaccine prioritization.
9 In looking at the recommendations from the
10 NBSB, I see that they look like the focus is
11 going to be on -- it would be on an age-basis
12 rather than the previous recommendations,
13 which had included critical infrastructure and
14 healthcare workers as priority groups. Is the
15 NBSB moving away from a recommendation to
16 include priority for critical infrastructure
17 and healthcare workers?

18 MEMBER GRABENSTEIN: So, this is
19 John Grabenstein. The way our report is
20 written is focusing on those at greatest risk
21 of disease, and one of our assumptions that
22 Dr. Pavia mentioned is that there's unlikely

1 to be the social disruption, as had been
2 feared in the highest hurricane-like
3 categories of a pandemic. But I'll let
4 anybody else from HHS or the Board comment, if
5 there's something additional to say.

6 MEMBER PAVIA: Yes. This is
7 Andrew Pavia. One of the things we did was to
8 really think who makes specific
9 recommendations, and recommendations on
10 specific target groups are developed with the
11 advice of ACIP and CDC, so we are not, in
12 fact, changing recommendations or priority
13 groups. What we're doing for planning
14 purposes, we're making some assumptions about
15 what the epidemiology suggested were likely to
16 be target groups after ACIP has given it due
17 consideration.

18 MEMBER GRABENSTEIN: Great. Thank
19 you. Next question, please?

20 OPERATOR: Your next question is
21 from Jeff Bowman.

22 MR. BOWMAN: Yes, thank you.

1 First of all, recognizing the importance of
2 the human capital healthcare workers,
3 alongside the supplies of retrovirals,
4 respirators, et cetera, et cetera, my question
5 pertains to healthcare worker exposure
6 management, and the significance related to
7 vaccine, diagnostic testing, and antivirals.
8 And I'm wondering if there have been any
9 provisions for healthcare worker surveillance
10 as a part of monitoring vaccine effectiveness
11 following confirmed exposures to H1N1. And
12 the second part of that is, are there any
13 provisions for hospitals and providers in
14 order to obtain confirmatory H1N1 testing when
15 state health departments are limiting access,
16 and the private labs do not possess the
17 confirmatory test.

18 As you may be aware, the
19 significance of managing healthcare worker
20 exposures yields not only potentially sick and
21 ill healthcare workers, and contributing to
22 nosocomial spread, but it also undermines our

1 infrastructure of people. And, on top of
2 that, there's quite a bit of cost associated
3 with not only the lost time, but also the cost
4 associated with the use of a critical supply
5 of antivirals.

6 And I think with the limits on
7 testing, and limited availability, we're going
8 to see increased use of the antivirals. And
9 I'm concerned that with the limits we have on
10 diagnostic testing, it will disrupt our
11 epidemiological investigations, and increase
12 the utilization of a critical resource.

13 MEMBER GRABENSTEIN: This is John
14 Grabenstein. We do make a comment about
15 encouraging the dissemination of a bunch of
16 these laboratory tests and reagents to
17 clinical care laboratories more than just
18 public health laboratories, I think alluding
19 to one of the issues you cited. Andy, do you
20 want to make any other comments about
21 surveillance, or the like?

22 MEMBER PAVIA: I think that as far

1 as what's going on, that's really a key
2 question. I think it's pretty clear from the-
3 - our diagnostic recommendation that we
4 recognize and we're really emphasizing the
5 importance of having accurate diagnostics
6 available for a variety of reasons that have
7 to do with local epidemiologic control, as
8 well as management. The NVAC, the National
9 Vaccine Advisory Committee, which is handling
10 issues about safety monitoring
11 recommendations, the night before last in
12 discussions with CDC and a fairly complex
13 discussions about vaccine effectiveness and
14 safety monitoring amongst healthcare workers,
15 and I know that's being considered by CDC. I
16 don't know if Jay or Anne want to comment.

17 MEMBER GRABENSTEIN: Anything else
18 from CDC on that? Okay. Are there any other
19 questions or comments from the public?

20 OPERATOR: There are no further
21 questions.

22 MEMBER GRABENSTEIN: Thank you.

1 Okay. So, let's come back to the Board
2 discussion. I want to -- we have 12 minutes
3 left in the hour, so I want to focus on the
4 procedural issue of conveying a document from
5 the -- adopting a document by the Board to
6 convey to the Secretary and the Department.
7 So far, the only change that we've made to the
8 document is on page 2 in that first section
9 within the H1N1 vaccine, the first bullet of
10 the H1N1 vaccine. And I'll just read it
11 again, and I'll make it a motion this time.
12 And if somebody wants to second that, that
13 would be great. And it would be substitution,
14 as follows.

15 "Based on available data, the NBSB
16 recommends that HHS set a goal of having
17 several tens of millions of doses of
18 unadjuvanted monovalent A/H1N1 vaccine
19 available for clinical use not later than
20 September 15th, 2009. To achieve this, HHS
21 should pursue", and the balance of the bullet.

22 MEMBER CANTRILL: John, Steve

1 Cantrill. I second that.

2 MEMBER GRABENSTEIN: Okay. Let me
3 just take a vote. I'm not Chair, I'm
4 Moderator. Leigh is Chair. Leigh can call
5 for the vote.

6 CAPT. SAWYER: Okay. So, I would
7 like to have a vote. Now, do you just want to
8 vote on all -

9 MEMBER GRABENSTEIN: No, just the
10 amendment.

11 CAPT. SAWYER: Okay. I'd like to
12 hear a vote from the members who agree that we
13 should make this change that was just read by
14 John Grabenstein. Let's go around. We need
15 your name. I'm trying to find my list of names
16 here. Okay. Here we go, Patricia -

17 MEMBER ROSE: Leigh, before we do,
18 should we get some other adoption of the rest
19 of the report unamended, unless there is
20 anything we -- and include those two together,
21 so we don't have to -

22 MEMBER GRABENSTEIN: We could do

1 that. Is there a -- let me ask if there is
2 people who object vigorously to that
3 amendment, and then we can just make it one
4 master adoption.

5 MEMBER ROSE: Yes.

6 MEMBER GRABENSTEIN: Any vigorous
7 objection? Okay. We'll save it for later for
8 the full vote. Roberts is turning over in his
9 grave, but that's okay. All right. Okay.
10 So, are there other -- should we return to any
11 other points of discussion from earlier? What
12 did I table? I tabled how to address
13 antiviral use, whether to change from a
14 strategic level, as opposed to clinical level
15 in terms of reserving certain category,
16 classes of antivirals, or whatnot. Was there
17 anything else that I -- just speak up, any
18 other points that you think we need to
19 address?

20 MEMBER JAMES: The motion you
21 tabled, is that -- are we going to discuss
22 that now, or is that tabled for future

1 discussion?

2 MEMBER GRABENSTEIN: Go ahead and
3 discuss it now.

4 MEMBER JAMES: Just quickly, I
5 totally agree, it's a strategic issue, but I
6 think it's something where when we're dealing
7 with the antivirals, we already have the
8 product. The recommendations will be made as
9 this thing unfolds.

10 With regard to the vaccine, I
11 think we have the ability to potentially
12 influence how we develop a new product, or
13 intervention. And that's why I think we need
14 to focus on the vaccine, and leave the
15 recommendations on specific use to other more
16 informed bodies.

17 MEMBER GRABENSTEIN: Thank you.
18 Other comments?

19 MEMBER ROSE: This is Eric Rose.
20 My understanding of the antiviral stockpile
21 for influenza is it's intended use is for
22 therapeutic use. And that there is no, or a

1 relatively small stockpile for prophylaxis.
2 My only point here is that if there is an
3 earlier wave of H1N1 that precedes the
4 availability of vaccine, though there is
5 antiviral drug available for therapeutic use
6 that have been stockpiled with that intent, I
7 think that consideration for using a portion
8 of it for prophylactic use, or that
9 feasibility, at least, ought to be considered.
10 And to not wait until we're confronted with it
11 at the time.

12 MEMBER GRABENSTEIN: All right.

13 MEMBER PAVIA: This is Andy. I
14 totally agree that it needs to be discussed.
15 There are a lot of elements that go into that
16 discussion, how to fix Zanamivir, the speed
17 with which you burn through drugs using it for
18 prophylaxis rather than treatment.

19 MEMBER ROSE: Sure. I agree.

20 MEMBER PAVIA: So, I think that
21 whoever addresses that needs to start with --
22 needs to put a significant amount of time

1 into it, and needs to review the data that we
2 have, and the data that we need in some
3 detail. Our plate is pretty full. We can
4 certainly tackle that, if we want, but we're
5 certainly not going to be able to get to it on
6 this phone call, or in this document.

7 MEMBER GRABENSTEIN: Right.

8 MEMBER ROSE: I'll ask again, I
9 fully agree. My only point is that I just
10 want to have some comfort that somebody is
11 going to be doing it. And I haven't heard
12 that yet. The ball hasn't landed anywhere.

13 MEMBER GRABENSTEIN: All right.
14 So, even in the half-day discussion we had in
15 Bethesda, we acknowledged that we scratched
16 the surface with antivirals, so I think the
17 question is, does the Board -- does the NBSB
18 take this issue of the antivirals on in the
19 relative short term. It may mean more
20 meetings for us, or travel, potentially
21 linking up with the expertise of CDC, and
22 perhaps with ACIP given their previous work in

1 the antiviral section of the MMWRs. Should we
2 take this one? Should we defer it to another
3 board, or should we not get involved? I think
4 that's the question for us?

5 MEMBER SCANNON: This is Pat
6 Scannon. We could put wording to the extent
7 that consideration should be given to
8 addressing this, whether it's done by us, or
9 others. And, again, I think our
10 recommendations are going to be seen by other
11 advisory boards, and this could be helpful in
12 their deliberations.

13 MEMBER BERKELMAN: This is Ruth
14 Berkelman. We could wait, and have HHS take
15 it under advisement that we are recommending
16 this be considered. And if they don't have
17 the ball land somewhere, then the NBSB takes
18 it up.

19 MEMBER ROSE: I agree with that.

20 DR. ROBINSON: John, this is Robin
21 Robinson from BARDA.

22 MEMBER GRABENSTEIN: Yes.

1 DR. ROBINSON: The Department
2 deliberated on this in 2007, and came to the
3 conclusion, and then as was reasonable,
4 recently had started reopening the
5 deliberations again on the questions of
6 prophylaxis, and to whom, and how much. So,
7 any assistance you can provide would go a long
8 way with what we're already doing.

9 MEMBER GELLIN: If Tony Fiore, if
10 he's on, he might want to speak to the ACIP's
11 Influenza Working Group, which is, my guess,
12 would be the one place where there's the
13 technical expertise for this to land. If he
14 wants to speak to that, fine. Otherwise, I
15 think what you propose is this should be
16 looked at by somebody, get back to us about
17 who, and then if there's nobody else doing it,
18 then consider NBSB doing it. Tony, are you
19 on?

20 CAPT. FIORE: Yes, I'm on. As a
21 couple of the earlier subjects, Dr. Pavia and
22 Dr. Robinson mentioned planning about use of

1 antivirals for chemoprophylaxis, and the
2 scenarios that had antivirals being used
3 extremely rapidly when you opened things up
4 for chemoprophylaxis. That's important, and
5 I'm not sure that's changed. We have had a
6 wide range of views when we talked about this
7 our work group called many, for example, who
8 represent local and state public health
9 departments have been concerned about
10 widespread use and long-term use of
11 chemoprophylaxis quickly depleting antiviral
12 stockpiles. And I think that sort of also
13 speaks to the concerns that the modelers had
14 when this was discussed back in 2007 about how
15 quickly one might go through prophylaxis. We
16 can keep revisiting it, and certainly in the
17 context of changes in severity of illness or
18 particular groups that are at higher risk, a
19 view that you might be -- where
20 chemoprophylaxis might be focused on.
21 Certainly, we can take it up.

22 MEMBER GRABENSTEIN: All right.

1 So, what I've heard -- so, at a minimum, the
2 Board is offering to HHS that if they wish us
3 to address this question, or assist in
4 addressing the question, we are available to
5 assist you. If somebody from the Board wants
6 to make a stronger motion, now would be the
7 time to do it.

8 CAPT. FIORE: Sir, this is Tony
9 Fiore. I forgot to add one thing, which is
10 when Anne talked about antivirals, we
11 typically do discuss within our work group
12 with clinicians, such as Dr. Pavia, to discuss
13 them. It is a one vaccine focused work group.

14 MEMBER JAMES: Dr. James here. If
15 what John said was put forth as a motion, I
16 would second that.

17 MEMBER SCANNON: Well, coming back
18 - this is Pat Scannon. Coming back to Eric
19 Rose, what he raised, I don't want to speak
20 for Eric, but what he raised, that I heard
21 was, there's a particular issue if the H1N1
22 virus starts showing up in August before

1 vaccine is available. So, I think that it's
2 not just a matter of ongoing consideration of
3 even prophylaxis using antivirals, it's
4 particularly in the setting if there's an
5 early emergence of the virus before vaccine is
6 available, consideration needs to be given to
7 modifying the use of antivirals to accommodate
8 that until vaccines are available. Eric, do
9 you have any comment?

10 MEMBER ROSE: That's exactly my
11 point, Pat.

12 MEMBER GRABENSTEIN: All right.

13 MEMBER ROSE: That very specific
14 narrow question, a strategic question.

15 MEMBER GRABENSTEIN: All right.
16 So, the Board is making itself available to
17 the Department to assist in addressing this
18 question. All right.

19 MEMBER ROSE: I think that's fine.

20 MEMBER GRABENSTEIN: It's 1:59 by
21 my watch, so I'm going to give one more chance
22 for comments from the Board members, and then

1 we'll proceed to a vote on the report with the
2 addition of the substituted clause I read out.
3 Any last points of discussion? Hearing none,
4 all right. So, we have -- the motion is to
5 adopt the report of the Working Group with the
6 amendment of the first bullet in the H1N1
7 vaccine section, and relay it to the Secretary
8 and the Department. And we'll leave the
9 antiviral as a verbal, so we don't have to
10 quibble over the wording before we do the
11 vote.

12 CAPT. SAWYER: Okay. So, we will
13 take a vote on that now. I don't know if
14 Patty Quinlisk has joined. Ruth Berkelman, do
15 you agree with this?

16 MEMBER BERKELMAN: Yes.

17 CAPT. SAWYER: Cantrill?

18 MEMBER CANTRILL: Yes.

19 CAPT. SAWYER: Roberta Carlin?

20 MEMBER CARLIN: Yes.

21 CAPT. SAWYER: Al Di Rienzo?

22 MEMBER DI RIENZO: Yes.

1 CAPT. SAWYER: Ken Dretchen?

2 MEMBER DRETCHEN: Yes.

3 CAPT. SAWYER: John Grabenstein?

4 MEMBER GRABENSTEIN: Yes.

5 CAPT. SAWYER: Jim James?

6 MEMBER JAMES: Yes.

7 CAPT. SAWYER: Tom Mac Vittie?

8 MEMBER MAC VITTIE: Yes.

9 CAPT. SAWYER: John Parker?

10 MEMBER PARKER: Yes.

11 CAPT. SAWYER: Andy Pavia?

12 MEMBER PAVIA: Yes.

13 CAPT. SAWYER: Eric Rose?

14 MEMBER ROSE: Yes.

15 CAPT. SAWYER: Pat Scannon?

16 MEMBER SCANNON: Yes.

17 CAPT. SAWYER: Okay. So, we will

18 be sending these recommendations forward as

19 approved by the NBSB.

20 I'd like to thank everyone for

21 their participation today. Are there any

22 other questions of the Board members at this

1 moment?

2 MEMBER SCANNON: Yes. This is Pat
3 Scannon. Steve Cantrill brought up the
4 question of additional meetings. Is this time
5 to bring that up, or should that be discussed
6 on -

7 CAPT. SAWYER: Well, has Dr. Lurie
8 joined again? I know that she -- we met with
9 her briefly this morning, and I feel that I
10 can state that Dr. Lurie is very interested in
11 engaging the Board, and continuing
12 discussions, which we'd like to do on a more
13 regular basis. We will be putting a notice in
14 the Federal Register to this point. The
15 question is really how regular, if it would be
16 every two weeks, or once a month. So, we will
17 need to convene an administrative meeting of
18 the Board to learn of your availability, but
19 that is the intention, I believe, of the
20 Department, and of Dr. Lurie, to have more
21 dialogue with the Board, so that we're able to
22 have updates, and be more on top of things, so

1 that when decisions need to be made, we will
2 be prepared to do so.

3 MEMBER JAMES: This is Jim James.
4 With the rapidity that this might change over
5 the next couple of months, I really think we
6 should be looking at every two weeks, at least
7 telephonically.

8 MEMBER CANTRILL: I just wanted to
9 avoid the FACA overhead that sometimes
10 hamstring us in terms of how fast we can
11 respond. So, I would say even tentatively
12 scheduling them, and we can always cancel them
13 if there's no business.

14 MEMBER JAMES: Precisely.

15 CAPT. SAWYER: Yes. We will
16 actually do that. We will proceed with the
17 Federal Register notice indicating that we
18 will be having these regular meetings. I know
19 that this is the approach that the NVAC has
20 taken, and we will follow that as the example.

21 MEMBER PAVIA: And, Leigh, the
22 other thing we should consider maybe times

1 when we need to have informational meetings,
2 where there are space for information only, so
3 we may want to turn some of those into working
4 group meetings, if we need to.

5 CAPT. SAWYER: Yes. I think that
6 that would be very helpful. In fact, I'd like
7 to thank those, and maybe, Andy, you would
8 like to do this. I know that it was of great
9 benefit to the Working Group to have the
10 participation of the experts that were
11 invited. And I know many of them, although
12 you can't see them on our list of calling in
13 today, are on the phone today and listening to
14 this, so we greatly appreciate the
15 participation of these experts, and we look
16 forward to further opportunity to work with
17 you.

18 MEMBER CANTRILL: Steve Cantrill.
19 I'd like to thank you and Andy for the
20 marvelous job you guys did for setting up that
21 conference, which I think was really earth-
22 breaking. And I'd like to also thank John

1 Grabenstein for the fine work he's done in
2 terms of putting the finishing touches on this
3 project.

4 CAPT. SAWYER: Thank you. Okay.
5 With that, I'd like to close this meeting then
6 today, and we look forward to more
7 opportunities for this discussion in the
8 future. Thank you.

9 (Whereupon, the proceedings went
10 off the record at 2:05 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

A				
ability 9:20 26:13 112:11	acutely 15:16	adults 45:8,10 47:22 49:19	101:19 112:2	Anne's 90:5
able 5:8 10:9 13:10 19:22 22:22 23:2 23:3,7 28:19 29:17 30:19 37:4 47:2 61:19 77:1 86:2 87:12 99:22 114:5 122:21	ad 90:16 98:3	advanced 42:17 43:3 50:9	aid 48:14	announcement 5:7
absent 8:12 69:17 74:14 95:6	adamantines 52:4	advantage 24:19 40:16 47:13	Al 5:21 120:21	announcing 10:16
accelerate 17:12	adapted 40:19	adverse 104:2	Alaska 87:16	annual 97:3
accept 66:5 92:22	add 34:6,9 46:22 77:19 82:15,17,20 87:10 96:22 118:9	advice 8:22 9:21 14:16 16:3,7,8,19 17:4 22:8 25:6 90:5,19 91:9 101:1 105:11	ALBERT 1:13	answer 20:2 31:5 32:18 65:4 67:20 90:9 96:3 103:10
acceptable 46:9	addition 55:16 120:2	advise 100:22	Alignment 50:4	answering 93:10
acceptance 46:11	additional 21:10 41:9,10 48:6 63:13 84:11,11 105:5 122:4	advisement 87:3 115:15	allow 37:2 55:19	anti 53:9
access 5:3 13:1 106:15	address 11:12 34:3 51:8 95:19 99:12 102:5,8 111:12,19 118:3	advisor 14:14	allowed 68:8	antibiotic 51:5
accommodate 119:7	addressed 10:18	advisory 4:7 8:16 8:17,18 13:22 16:2 49:7 67:11 80:15 90:16 95:19 96:7,19 108:9 115:11	allows 71:9	antibiotics 43:11 55:1 58:22
accompanies 75:8	addresses 113:21	aeon 24:5	alluded 91:21	anticipate 45:4
accompanying 75:12	addressing 115:8 118:4 119:17	Aeronautics 2:14	alluding 107:18	anticipated 28:22 29:18 30:2 43:9 44:5 45:20 51:22 54:19,22 59:3
accord 36:12	Adirim 2:2 23:13 23:14	Affairs 2:6 12:16	alongside 106:3	antigen 18:6 19:18 26:2,5 28:16 41:16 64:1 72:14 74:12 100:14 102:1
accurate 57:14 108:5	adjective 82:16	affect 10:2,3	alternate 53:1 54:17	antigenicity 28:2
achievable 53:14	ADJOURN 3:21	age 47:22 49:22	alternative 29:15	antiviral 42:13,22 43:2,9 51:14 53:16 54:19 58:21 88:10 89:4 90:18 96:5 97:2,12,17 98:17 111:13 112:20 113:5 115:1 117:11 120:9
achieve 41:8 58:7 109:20	adjust 78:2	agencies 8:20 12:7	ameliorate 51:3	amend 25:1
acid 57:15	adjustment 79:7 83:21	Agency 2:12	amendment 110:10 111:3 120:6	amendment 110:10 111:3 120:6
ACIP 18:21 50:14 73:8 80:15 84:17 85:2 90:19 95:21 96:16 97:1,16 98:2,17,22 105:11 105:16 114:22	adjuvant 19:18 20:8 26:4 30:1 32:6 33:5 42:2 100:2,9,21	Agenda 3:9 23:20	American 16:18	American 16:18
ACIP's 116:10	adjuvants 50:1 100:16,20,20	agents 43:10 51:22 53:3 55:1 88:11	Americans 47:3	Amivir 20:16
acknowledged 114:15	administered 64:4 81:7 82:5	ages 36:13	amount 28:15 29:8 30:4 35:22 36:9 47:17 74:12 76:4 102:18 113:22	amount 28:15 29:8 30:4 35:22 36:9 47:17 74:12 76:4 102:18 113:22
acknowledgment 36:15	administration 2:14 50:11 53:2	age-basis 104:11	amplification-ba... 57:15	amplification-ba... 57:15
act 8:18 45:13	administrative 3:2 122:17	age-stratified 49:9	amplifiers 45:13	amplifiers 45:13
acting 94:9	Admiral 87:8	aggressively 33:20	Andrew 1:20 105:7	Andrew 1:20 105:7
action 12:12 44:10 80:21	adopt 81:10 120:5	ago 24:4 96:9	Andy 3:13 6:10 23:2 32:14,16 38:15 39:16 60:6 68:16 73:17 76:20 80:22 84:14 85:15 89:1,15 90:13 91:5 98:3 107:19 113:13 121:11 124:7,19	Andy 3:13 6:10 23:2 32:14,16 38:15 39:16 60:6 68:16 73:17 76:20 80:22 84:14 85:15 89:1,15 90:13 91:5 98:3 107:19 113:13 121:11 124:7,19
actions 16:3 21:10 55:15,16	adopted 60:14	agree 79:8 81:1 82:10 91:5 110:12 112:5 113:14,19 114:9 115:19 120:15	and/or 53:21	and/or 53:21
	adopting 109:5	ahead 5:7 19:5,7 63:15,15 69:4,5 69:17 70:6 76:21 78:3 79:4 99:13	Animal 2:3	Animal 2:3
	adoption 110:18 111:4		Anne 84:16 87:11 88:1 96:15 108:16 118:10	Anne 84:16 87:11 88:1 96:15 108:16 118:10
	adult 104:1		Anelli 2:3 7:4,5	Anelli 2:3 7:4,5
				anybody 34:5 63:1 64:6 77:4 105:4

anyway 73:1	74:14 76:20 80:5	70:7,14,19 71:5,8	background 64:15	beyond 18:8 63:6
apologize 85:16	96:6	71:8,16 72:8,13	94:17	Biodefense 1:1 3:5
apparent 8:3 9:18	asking 19:15 69:1	73:15 77:9 78:7	balance 19:11	3:18 4:5,13 11:7
apparently 26:11	ASO3 32:7	78:17 84:18 88:15	83:12 109:21	12:1 39:4,19
appear 10:2 42:6	aspects 15:12	94:16 118:22	ball 114:12 115:17	92:16
53:8	ASPR 12:10	Authority 50:10	BARDA 24:6 47:1	Biologic 67:11
appears 79:4	Assays 43:17 57:12	authorization	74:8 102:9 115:21	Biomedical 50:9
applicable 48:1	assess 9:18	20:10 37:8	barrier 84:4	bit 16:5,16 17:10
apply 85:8	assessment 70:5	autoimmune	barriers 53:13	26:13 97:5 99:4
applying 46:13	assigned 85:2	100:10	54:10 58:5,8 74:1	107:2
appreciate 5:11	assist 118:3,5	availability 41:12	based 20:3 21:11	black 14:5
59:9 89:20 90:5	119:17	41:18 44:7 58:20	27:6 48:21 66:14	board 1:1 3:5,18
92:11 124:14	assistance 116:7	59:4 107:7 113:4	68:6 76:6 77:13	4:6,14 8:17 9:6,7
approach 41:21	Assistant 3:8 11:20	122:18	81:15 83:1 98:21	9:12,15,21,22
73:13 80:14	12:4,20 14:12	available 11:12	109:15	10:18 11:2,7,13
123:19	25:3 39:12	19:1,22 28:1 29:8	basically 28:15	11:15 12:1 13:6,6
approaches 52:22	associated 107:2,4	29:11 30:8 31:19	37:3 74:4	16:2 22:5,18,22
53:16,17	assume 31:17	32:1 37:15,20	basis 15:18 19:14	24:22,22 25:14,16
appropriate 35:3	44:19 45:16 64:22	41:5 42:3,11,22	41:15 46:17 54:21	31:9 32:9 33:18
41:10 44:10,11	94:3	43:4 46:18,20	65:1 69:18 122:13	39:5,19 59:11,17
47:4 54:11 55:17	assuming 31:12	47:11,16 50:17	batch 81:6	68:14 69:2,14
58:22 89:10 90:19	64:11 67:19	53:17 57:16 60:2	bear 63:14	70:11 88:9 90:2
appropriations	assumption 47:1	60:3 63:12 65:1	beginning 27:8	91:8,17 92:6,21
102:16	assumptions 40:14	67:22 69:9 70:1,4	83:2 94:16 95:15	93:7 96:2 102:5
approval 53:14	44:14,17 45:6	71:18 72:2 73:14	behalf 12:2	105:4 109:1,5
54:7	51:1 55:6 104:21	78:4,4 79:6 81:15	believe 22:21 23:4	114:17 115:3
approved 42:21	105:14	81:18 83:1,12,13	35:2 38:11 48:2	118:2,5 119:16,22
43:9 54:19 121:19	assure 4:15	84:8 88:17 93:2	63:18 80:11 92:13	121:22 122:11,18
approves 25:2	asthma 100:6	102:17 108:6	93:5 95:4 122:19	122:21
approximately	asymptomatic	109:15,19 113:5	benefit 42:20 46:5	boards 115:11
31:22 72:9	42:10	118:4 119:1,6,8	46:11 51:15 85:9	Board's 92:17
April 24:16 89:12	attack 45:7	119:16	124:9	bodies 112:16
area 8:5 55:5	attempt 28:20	avoid 100:22 123:9	benefits 51:11,20	Bonnie 7:13
areas 12:22 35:14	attend 61:19	aware 15:16 90:14	94:8	Boris 7:14
39:22 48:19 60:12	attention 16:13	96:5 106:18	Berkelman 1:12	bottle 70:6 73:19
62:11 68:20	24:18 38:3	A/H1N1 41:14	5:17 115:13,14	78:3
arena 50:19 56:13	attentive 9:22	81:18 109:18	120:14,16	bottled 64:3
57:3	attenuated 28:18	A/H3N2 52:5	Berry 2:4 7:15,16	bottles 73:16
argument's 71:22	29:18 31:3 41:20		Besser 2:6 7:13	bottling 67:21
arm 30:13	49:4	B	best 18:19 44:21	70:17
Army 2:17	attractive 53:7,9	B 52:5	52:12 85:8 89:5	bound 98:19
arrangements	Auchincloss 7:14	back 14:3 18:4	90:6	Bowman 105:21,22
102:15	augmented 57:10	33:10 52:19 59:6	Bethesda 24:8	Branch 9:11
array 20:4	August 30:11 31:17	67:5 84:21 88:8	39:21 114:15	breaking 124:22
arrives 75:20	34:19 36:1 60:2	91:8 93:22 109:1	bets 76:14	brief 41:1
articulated 48:21	63:10,16 64:11	116:16 117:14	better 27:17,18,22	briefly 122:9
asked 8:10 10:4	65:17 66:3,7 70:2	118:17,18	44:3	Brigadier 1:15

bring 57:2 122:5	81:20,20 82:2	96:11 97:11 98:16	choice 100:17	COL 2:17 7:11
broad 90:2	86:11,12 109:22	98:22 105:11	choices 55:18 61:15	collection 66:10
brought 63:14	110:1 120:17,18	108:12,15,18	choose 67:21	collective 46:13
122:3	122:3 123:8	114:21	chooses 14:18	Colonel 7:10
browser 38:19,22	124:18,18	celecoxib 53:12	chose 31:20	combination 53:3
Bruce 2:9 7:14	capacity 37:1 47:4	Center 2:12	circulate 44:20	come 18:6 35:21
68:18 76:18 78:11	55:20 56:6,13	Centers 2:8 50:8	circulation 55:9	52:19 67:5 84:6
79:19 84:15 93:14	57:2,9,11,18 58:1	certain 88:21	circumstances 8:19	85:10,13 88:8
96:4	58:5 81:4 84:22	111:15	40:20	109:1
Bruce's 90:6	capital 106:2	certainly 25:19	cited 107:19	comes 100:20
bulk 26:3,4 29:11	Capt 3:4,19,22 4:3	29:19 30:12,19	Clare 15:13	101:16 102:22
31:19 72:13	5:16,19,21 6:1,3,5	62:10 73:20 75:22	clarified 88:13	104:6
bullet 62:20 81:14	6:7,10,12,14,16	114:4,5 117:16,21	clarify 40:13 59:16	comfort 114:10
109:9,21 120:6	6:21 7:3,6,9,12,17	cetera 106:4,4	61:3 82:12	coming 15:19
bunch 19:17 85:19	7:20 8:1 22:21	Chair 3:14 8:3,8,12	clarifying 60:7	18:16 24:21 84:21
107:15	23:5,15,18 62:3,9	11:22 38:7 110:3	clarity 80:21	103:18 118:17,18
burn 113:17	68:16 79:10,15,18	110:4	classes 53:4,4	Commander 2:17
business 86:20	98:15 99:18 110:6	Chairing 15:13	111:16	commensurate
123:13	110:11 116:20	challenge 97:13	Claudia 7:20	102:18
Butler 87:9,15 88:1	118:8 120:12,17	challenges 40:8	clause 120:2	comment 3:15
Butler's 84:21	120:19,21 121:1,3	97:7	clear 14:9 68:4	10:11 11:3 30:18
button 23:1,3 39:9	121:5,7,9,11,13	challenging 100:12	72:13 76:22 80:21	62:2 64:6 74:6
buy 19:21	121:15,17 122:7	101:2	91:7 96:14 108:2	88:6 89:16 91:14
buying 37:3	123:15 124:5	chance 119:21	clearly 48:21 60:11	94:12 98:12 99:10
	125:4	change 27:21 36:16	click 39:3,9	99:20 102:6 105:4
C	Captain 2:22 15:12	66:15 68:6 76:6,8	clinical 30:20 32:11	107:14 108:16
C 4:1	care 13:1,1 15:10	82:16 98:20 109:7	32:19 34:2 37:9	119:9
calculations 46:11	56:15 57:13 59:5	110:13 111:13	37:12,18 43:16,18	comments 4:9,17
California 24:17	107:17	123:4	43:22 53:6 55:7	10:7,17,20 52:18
28:3	Carlin 1:13 5:19,20	changed 46:19	55:15,21 56:12,13	59:10,15,22 63:3
call 3:3 5:13,15	120:19,20	75:13 117:5	56:15,18 57:3,12	79:12 83:18 88:10
6:17,20 8:6,12,14	Carol 7:14	changeover 71:9	57:13 59:4 63:11	89:21 90:2 94:21
17:1 23:11 24:13	cascade 53:6	changes 30:3 60:11	69:9 81:19 82:21	96:22 107:20
38:18 87:4 92:7,8	case 69:19 77:1	60:14 117:17	83:10 84:7 89:8	108:19 112:18
94:19 110:4 114:6	86:6	changing 92:18	90:4 92:22 96:18	119:22
called 37:11 117:7	cases 24:17 45:18	98:20 105:12	107:17 109:19	Commerce 2:16
calling 124:12	catastrophic 45:19	Characterization	111:14	commercial 26:1
calls 101:14	catch 32:4	2:5	clinical-care 43:19	commercial-scale
campaign 18:1	categories 105:3	chemoprophylaxis	44:7	28:20
27:15	category 111:15	117:1,4,11,20	clinicians 97:9 98:4	commit 64:3 76:3
cancel 86:19	caught 24:17	Chief 2:4,13	118:12	86:8
123:12	causal 48:13	children 36:11	close 18:11 50:6	committee 4:7 8:16
candidates 53:9	cause 100:9	41:21 45:8,10,12	125:5	8:18 49:7 67:11
Cantrill 1:12 5:17	CDC 17:15 27:19	47:22 48:18 49:15	closely 87:19 88:4	67:13 80:16 96:7
5:18 74:22 75:1	48:4 58:11 61:9	49:17 53:20 54:2	closer 98:13	99:6 108:9
75:14 76:2,2	61:13 62:1,4 73:5	61:10 62:22 74:1	clues 56:18	committees 8:21
77:16 79:14,14	87:8,17 90:4	103:6	cohorts 48:6 80:13	13:22 95:19 96:20

committing 74:18	confronts 68:2	18:8,9 50:8 55:17	critically 19:3	decisions 15:17,18
communication	Congress 102:17	58:20 108:7	cross 19:4 58:4	19:9 40:12 46:16
48:15	conjunction 97:2	controls 8:19	cross-agency 15:3	49:6 50:16 52:6
communications	consensus 51:13	convene 122:17	crystallize 77:5	97:22 123:1
15:11	consider 25:1 47:9	convened 1:8 4:8	current 40:21 43:8	declares 75:9
community 15:10	48:16 62:20 78:19	4:15 11:8 39:19	44:5 45:17 48:1	decrease 51:4
45:13 55:10,14,16	82:3 84:19 116:18	conversation 77:6	54:18,22 57:10	deep 100:1 101:1
101:1	123:22	99:4	59:3 67:18 71:16	defensible 76:12
companies 32:20	considerable 67:2	convey 92:9 109:6	100:13	defer 115:2
93:4	consideration 36:5	conveying 109:4	currently 28:3	define 56:17
compared 74:17	37:7,14 54:16	coordinated 12:13		definitely 100:18
compile 22:1	61:13 66:16	Coordinating 2:6	D	degree 85:10
completely 86:16	105:17 113:7	coordination 14:16	D 2:15 3:1 4:1	delay 50:2
complex 91:6	115:7 119:2,6	50:6	daily 15:5,18 21:5	delayed 41:22
108:12	considerations	corollary 83:20	Dan 6:21	51:19
complicate 52:6	40:8	correct 31:14 34:21	data 19:9 33:10,16	deliberate 30:17
complications	considered 42:14	62:7,8 63:19	42:19 46:18,19,21	97:4
98:10	53:1 108:15 113:9	72:17	47:20 49:6,11	deliberated 116:2
compromise 9:19	115:16	corrections 75:12	50:12,16 56:15	deliberations 4:16
computer 5:3	considering 30:14	correctly 72:21	63:11 66:3,9 68:1	21:12 25:16 41:2
computers 4:21	66:12 80:15	cost 107:2,3	69:4,17 71:21	68:11,15 115:12
concentrate 26:3	consistent 51:11,12	costs 46:4	75:20 78:9 79:1,6	116:5
concentrated 45:9	consultant 14:21	Counsel 2:10,10	81:15 82:21 83:1	delivering 29:15
concentration	contact 102:13	counteract 100:3	83:10 84:7 92:2	81:9
63:22	contacts 55:20	countermeasure	92:22 93:1 109:15	delivery 48:17
concern 26:6 89:17	containing 52:3	90:3	114:1,2	62:21
concerned 107:9	containment 57:20	countermeasures	date 70:17,20 71:11	demand 43:12 55:3
117:9	contemporary	2:5 4:11 40:3	77:22 78:20	department 2:2,6
concerns 100:1	40:16	counting 14:15	David 103:1	2:10,16,19 12:11
101:2 117:13	contents 95:1	country 15:21	day 65:12	12:15 24:9 25:7
conclusion 60:16	context 97:13	couple 14:21 21:4	days 15:1 21:4	30:13 40:1 67:19
116:3	117:17	23:10 31:8 32:22	71:19 75:22 84:18	77:9,12 86:6
conditions 20:7	continual 54:21	91:16 116:21	dealing 112:6	109:6 116:1
45:11	continuation 43:7	123:5	Dear 99:21	119:17 120:8
Conduct 9:10	continue 12:11	course 11:14 24:5	deaths 45:9	122:20
confer 51:20	44:20 45:7,9,13	49:18 56:9	decades 41:14	departments 12:17
conference 42:15	45:16 67:17 93:4	courses 35:10,16	47:13 56:10	106:15 117:9
124:21	continues 78:21	cover 83:6	decide 21:9 80:18	depends 103:19
confirmatory	continuing 122:11	covered 84:10	deciding 19:5	depleting 117:11
106:14,17	continuous 16:19	co-circulation 52:2	46:14	deployed 44:4
confirmed 12:3	contracted 26:1	56:2	decision 3:13 4:11	56:22
14:22 106:11	28:14 30:4	criteria 44:1	30:10 34:19 40:2	deployment 46:6
confirming 58:12	contribute 89:10	criterion 41:13	40:11 41:13 46:20	Deputy 2:11 12:20
confirms 83:10	contributes 57:18	critical 12:10 40:13	48:19 63:10,15,20	describe 25:4
conflict 3:3 9:9,14	contributing	47:10 57:3 58:4	68:2,8 70:15,18	described 103:17
conflicts 9:19	106:21	104:13,16 107:4	70:21 71:20 79:2	Designated 2:21
confronted 113:10	control 2:8 8:21	107:12	80:7,7 92:4,5,21	4:6 8:7

designed 49:11 51:11	27:21 66:16 80:2	document 9:11 38:17 50:20 59:15 77:7 83:6 84:13 109:4,5,8 114:6	122:7,10,20	effectiveness 42:16 106:10 108:13
designee 2:1 6:18	difficult 26:14	documents 4:19 5:1,9 10:22 39:10 48:3	draft 30:9 54:3	effects 49:22
desirable 89:19	dilution 74:4 75:3	doing 14:6 17:4,11 24:2 61:14 74:2 74:10 105:13 114:11 116:8,17 116:18	drafted 67:16 81:14	efficacy 56:19
desire 58:7	direct 4:21	dominant 41:12	drafting 9:4	efficiency 56:7
desperate 37:16	directly 9:7 33:17	dosages 36:9	dramatically 58:2	efficient 57:20
detail 114:3	Director 2:4,6,9,11 2:18,22 3:5 4:5	dose 31:2,13 33:12 33:13,14 34:14,16 47:15 49:13,16,21 64:4,12,22 66:13 67:12 68:6 69:5 74:11 75:9 78:2 79:5,7 83:11,20 84:8 93:1 103:22	Dretchen 1:14 6:1 6:2 61:6,7 62:8 94:13,14,18 121:1 121:2	efforts 40:17 62:6 62:13
detailed 49:20	discuss 4:9 13:16 17:6 24:14 38:5 59:22 83:8 85:5 111:21 112:3 118:11,12	doses 19:21 20:1 26:15 27:1,3 28:12,14 29:7 30:5 31:19,22 32:6 49:22 53:2 66:6 73:14 74:19 77:11,20 78:4 81:2,7,9,17 102:4 109:17	driven 47:19	egg-grown 49:3
details 58:17	discussed 42:15 113:14 117:14 122:5	download 39:11	driving 67:7	either 20:15 103:21
detect 48:13 55:8 55:11,11 56:17	discussing 24:21 63:12	downside 76:16,19	drug 37:8,11,13 50:11 52:15,18 55:11 96:18 113:5	element 81:4
detection 56:20	discussion 3:11,15 10:6 21:7 30:15 38:14 59:10,14 73:9 80:9,14 91:17 97:16 109:2 111:11 112:1 113:16 114:14 120:3 125:7	Dr 8:3,10 11:21 12:5,14 13:5,7,9 22:4,11 25:4,9,13 31:14,21 32:6,16 34:16,21 35:4,4,6 38:4,8,8 59:22 65:3,6,10,19 66:1 66:11 71:2 72:3,5 72:17 74:7 77:21 102:7,12 103:9,11 103:19 104:22 115:20 116:1,21 116:22 118:12,14	drugs 42:13 43:2 51:14 53:2 95:11 113:17	elements 113:15
determine 48:13 49:20 69:9	discussions 11:15 25:18 30:17 55:5 85:19 86:3 108:12 108:13 122:12	Dr.PH 1:17	drunk 16:10	eliminated 58:9
determined 77:12	disease 2:8 42:9,12 42:17 43:3 50:8 51:7,16,18,19 53:19 54:8 104:21	due 105:16	Dr.V.M 3:4,19,22	Ellen 101:11
develop 12:12 81:5 100:10 112:12	diseases 2:18 100:10	DVM 3:4,19,22	dynamic 86:21	email 5:1 10:19 99:11
developed 43:5 44:4 53:17 54:9 54:12,14 56:22 75:18 105:10	disparities 13:4	dynamic 86:21	D.V.M 2:3,17,22	emailed 5:2
developer 53:15	disrupt 107:10	earliest 70:17	E	Emanuel 6:22
developing 47:4	disruption 45:19 105:1	early 17:1 36:1 41:7 42:9,12 45:5 46:6 47:11 49:6 49:17 50:2,13 51:17 54:2 71:8 78:7 82:7 88:16 119:5	earlier 18:20 29:19 66:12 81:21 93:8 111:11 113:3 116:21	emergence 20:13 51:21 119:5
development 9:4 50:10 52:15,18 53:11 54:2,3,4,17	dissemination 107:15	earliest 70:17	due 105:16	emergency 2:8 20:10 37:7
Deyton 7:21	distributed 11:2 35:13 43:19 57:13	early 17:1 36:1 41:7 42:9,12 45:5 46:6 47:11 49:6 49:17 50:2,13 51:17 54:2 71:8 78:7 82:7 88:16 119:5	Dr.PH 1:17	emphasizing 108:4
DHS 23:14	distribution 71:18 102:3	earth 124:21	Dr.V.M 2:3,17,22	empiric 65:1
Di 1:13 5:21,22 120:21,22	Division 62:4 98:16	easiest 38:21	dynamic 86:21	employees 9:2,10 9:13
diagnostic 11:9 40:6 43:16,20 44:1,3 56:13 57:17 58:3,19 91:18 106:7 107:10 108:3	divulging 58:13	easily 68:7	effect 74:11	encourage 62:10
diagnostics 43:14 55:6 56:8 57:14 59:14 88:8 94:22 108:5		Education 61:21	effective 34:15 42:8 43:2 83:11	encouraged 11:14
dial 38:21		effect 74:11		encouraging 67:18 107:15
dialogue 122:21		effective 34:15 42:8 43:2 83:11		endlessly 78:13
Diane 2:4,15 7:7,9 7:15				endorsing 73:12
difference 13:21 14:9				endpoints 54:11
different 26:10				Energy 2:19

47:20 108:7	53:2,3 58:15 68:6	109:7	finished 76:4 78:10	64:21
epidemiological	expansion 57:11	fast 38:3 68:21	finishing 71:7	Food 50:11
107:11	expect 68:11	123:10	125:2	force 15:3,13 21:6
epidemiology	expected 42:1 45:2	FDA 30:14,17 34:7	finite 80:12	21:8 87:18
40:20 50:12 84:13	expects 71:17	34:8 54:3 66:12	Fiore 62:3,4,9	foremost 13:20
85:7,10 105:15	experience 12:10	67:12 68:4,10,15	96:22 98:15,16	forgot 118:9
equation 77:2	14:17 41:14 47:14	74:6 75:1,11	116:9,20 118:8,9	formal 62:13
equipment 15:9	58:10 75:6	103:20	fire 101:5	formed 24:15
equipped 43:16	experiences 16:16	feared 105:2	first 13:20 14:10	forms 29:17 37:17
Eric 1:21 6:12	expert 22:8 51:14	feasibility 113:9	18:6 25:20 28:19	formulate 66:6
34:12 63:5 64:10	expertise 67:15	feasible 73:21	31:8 32:19 33:13	69:17
64:11,21 78:6	89:6 114:21	83:13	34:16 54:5 59:11	formulated 30:22
79:12 83:14 84:2	116:13	federal 2:21 4:6,7	60:22 66:2 71:21	formulation 42:21
88:12 89:1 92:12	experts 11:9,10	8:7,16,18,20 9:2	80:6 81:6,7,13	46:17 65:11,12,17
112:19 118:18,20	16:19 39:20 40:6	10:15 24:19,20	84:18 101:15	71:10
119:8 121:13	98:4 124:10,15	36:2,11 86:18	103:22 106:1	formulations 33:4
Erin 104:6	explicit 49:15	95:17 96:7 122:14	109:8,9 120:6	36:8
essential 47:9 56:6	exposed 42:10	123:17	five 25:22 27:13	forth 27:18 28:7
56:16	97:19	feedback 19:10	40:18 45:21 90:8	118:15
establish 8:21	exposure 88:20	20:19,21	fix 113:16	Forum 3:13 4:12
estimated 26:22	97:20 106:5	feel 16:1 60:17	fixed 93:6	forward 13:7,12
estimates 44:22	exposures 106:11	122:9	Fletcher 6:21	15:15 17:7 20:22
et 106:4,4	106:20	feeling 74:14	flexibilities 93:20	22:10,13 33:16,19
Ethical 9:10	expressing 13:14	felt 40:13	flexible 48:20	33:20 34:4 36:7,9
EUA 92:2	extensive 84:4	fewer 26:11	flow 86:4	61:16 64:12 66:19
euphemistic 91:11	extensively 42:15	field 12:22	flu 29:15 96:13	68:21 92:2 100:1
evaluation 87:22	extent 24:3 47:19	figure 18:13 85:8	100:2,4,19 101:3	121:18 124:16
evening 60:10 72:2	115:6	85:12	101:10	125:6
evidence 42:16,18	extremely 68:13	fill 29:12,14 71:3	flu-mist-like 29:3	found 38:18
48:22 50:13 51:2	117:3	71:10,15 72:1	FNS 102:3	foundation 40:17
51:6	ex-officios 6:17	76:1 86:9 92:19	focus 17:13 40:2	four 27:13 28:6
evolving 50:18	e.g 49:8 55:1 57:15	filled 78:9	98:18 104:10	45:21 59:11 65:7
84:12		filling 30:11 65:13	109:3 112:14	65:7,9 81:7
ex 2:1 11:14 59:18	F	71:10 72:8 93:5	focused 62:6	frame 93:21
exactly 27:2 69:8	FACA 8:18 123:9	finalize 68:12	117:20 118:13	framed 95:14
119:10	fact 27:6 32:21	finally 59:14	focusing 47:21 98:8	Frank 7:4
example 22:17	46:2 105:12 124:6	financial 9:17	104:20	frankly 15:21
117:7 123:20	factors 48:1 49:8	find 93:18 110:15	fog 16:16	19:13
examples 58:9,18	98:9	finding 27:11 48:3	folks 87:2	FRIDAY 1:5
exception 53:11	facts 93:17	findings 4:10 13:16	follow 47:5 86:7	front 20:11 26:5
exclude 49:18	fairly 75:19 108:12	41:1	123:20	30:1 50:21 68:19
Executive 2:22 3:5	fall 17:3,21 20:9,17	fine 74:13 116:14	following 18:17	85:17
3:12 4:4 9:11	43:1 44:3,21 46:2	119:19 125:1	21:6 41:3 69:12	frying 101:5
38:10	100:19 102:4	Finelli 90:17	78:1 84:10 103:17	fulfill 22:7
exist 53:13	far 20:4 28:10,15	finish 71:3,16 72:1	106:11	full 9:6 20:4 24:22
existing 40:21 43:4	30:5 64:5 94:4	72:15 74:19 76:1	follows 109:14	24:22 66:9 111:8
43:20 51:10,22	101:9 107:22	86:9	follow-up 64:9,10	114:3

Fultz 7:21
function 45:19
 56:12
fund 59:1
fundamental 54:6
further 37:18
 38:13 54:14 59:1
 76:7,7 98:13
 108:20 124:16
future 43:6 52:15
 52:18 57:4 99:5
 111:22 125:8

G

G 3:1 4:1
galvanized 24:18
garbled 64:18
gather 76:6
gathered 24:8
gathering 9:3 24:3
 25:9
Gellin 2:9 7:14
 68:18,19 72:12,18
 73:2 76:18 78:11
 79:19 85:4 86:10
 90:12,22 93:14,15
 94:6 96:4,5 116:9
general 1:15,19
 59:15 98:7
generalize 86:12
generated 60:8
getting 16:8 29:5
 82:3 89:4 90:4
 100:14
give 24:7,10 25:20
 35:18 36:10 54:15
 62:17 65:7 73:21
 119:21
given 4:15 10:12
 38:16 69:2,3 70:5
 72:18 92:20 103:4
 103:6,20 104:1
 105:16 114:22
 115:7 119:6
gives 76:12 85:18
giving 9:20 61:9
 77:12

global 15:22
globe 29:22
go 5:4,6 17:2,6 19:5
 19:7 27:10,14,16
 30:21 31:20 32:1
 33:8 36:6 37:12
 39:15 44:18 58:17
 62:13 63:15,15
 64:16 65:15 66:7
 69:3,5,10,16 70:6
 76:21 78:3 79:4
 88:7 96:2 99:12
 101:5,19 110:14
 110:16 112:2
 113:15 116:7
 117:15
goal 25:5 41:9,17
 47:1,4,6,13 81:16
 109:16
goals 3:9 23:20
 40:15 44:14 47:8
 47:16 48:8 50:4
 52:21 56:5
goes 32:21
going 4:21 14:5
 15:19,20 18:6
 19:8,13 21:15
 22:13,15 23:19
 24:20 29:11 33:16
 34:3 36:9 44:12
 52:17,19 63:11
 67:20 69:10,12,16
 69:22 71:5 78:19
 80:1 82:7 85:4,6,6
 85:11,12,21 86:21
 88:6,7,17 91:14
 91:15 92:1 94:14
 94:22 95:19,22
 99:3 101:22
 102:19 103:7
 104:11 107:7
 108:1 111:21
 114:5,11 115:10
 119:21
good 16:18 22:11
 23:5 29:19 39:17
 47:18 61:4,18

62:11 82:18 83:3
 85:15
Google 5:6
governed 8:17
government 8:21
 9:13 12:8 24:9
 39:20 40:5 41:4
 50:6 54:15
Grabenstein 1:9,14
 3:10 6:3,4 8:11
 22:3,4 23:21,22
 31:7,8,16 32:3,8
 32:14 34:5,10
 35:1 38:1,15 59:8
 60:15 61:1,4,18
 62:18 63:17 64:14
 65:5 66:4 67:8,9
 70:10,16 72:10,20
 74:5 75:4,5,16
 77:3 80:10,11
 81:11 82:9,15,22
 83:4,14,17,22
 84:9 85:1 87:1,7
 88:5 91:12 92:10
 94:3,11,20 95:10
 95:13 99:2 101:12
 101:18 102:10,20
 103:9 104:3,18,19
 105:18 107:13,14
 108:17,22 110:2,9
 110:14,22 111:6
 112:2,17 113:12
 114:7,13 115:22
 117:22 119:12,15
 119:20 121:3,4
 125:1
grateful 13:15
grave 111:9
great 22:17 105:18
 109:13 124:8
greater 45:17 57:2
 64:1
greatest 24:2
 104:20
greatly 8:13 124:14
group 3:12 4:13 9:1
 11:6,8 13:17 17:1

21:9 22:19 24:15
 24:15,22 39:18,19
 40:13 44:8 47:17
 47:18 84:16,22
 96:1 116:11 117:7
 118:11,13 120:5
 124:4,9
groups 8:22 9:6
 15:6 17:14,17
 80:20 81:3 88:21
 88:21 89:7 98:3
 104:14 105:10,13
 105:16 117:18
group's 89:5
grow 26:8
grows 26:8
GSK 91:22
guess 18:19 27:5
 64:9 66:22 78:15
 83:19 116:11
guessed 27:5
guidance 54:4 86:6
 90:18 97:12
 103:20
guide 56:14
gut 74:14
guys 124:20

H

half 28:11 66:19
half-day 114:14
hamstrings 123:10
hand 71:17,17,21
handled 90:7
handling 108:9
happen 10:4 22:15
 27:14 37:2 69:12
 85:21 104:2
happened 85:20
happens 14:1
happy 21:14
hard 22:12 69:7
harder 27:12
harms 101:6
Hart 2:10 7:18,19
Haseltine 7:17
Hatchett 23:16,17

head 26:16 27:1,3
health 2:4,5,13,19
 12:6,17,18,20,21
 13:2,2,3 15:20
 25:7 39:2,14 40:1
 43:15 46:5,12
 50:11 55:7,13
 56:11,17 57:1,9
 57:19,21 87:16,19
 106:15 107:18
 117:8
healthcare 52:9
 85:11 104:14,17
 106:2,5,9,19,21
 108:14
hear 4:16 14:3
 16:14 23:1 73:10
 74:21 87:3 103:5
 110:12
heard 25:4 26:6
 40:4 60:10 63:7
 80:14 101:21
 102:1 114:11
 118:1,20
hearing 11:5 21:1
 28:6 60:21 77:4
 120:3
heavily 66:17
hedging 76:14
held 24:4
hello 13:13 101:17
 103:2 104:7
Helminiak 15:13
help 15:2 29:21
helped 17:9,10
helpful 25:19 92:5
 115:11 124:6
hemagglutinins
 26:11
Hey 60:5
HHS 12:15,21 15:4
 24:9 43:8 44:4
 48:16 54:18,21
 59:2 62:1,20
 80:21 81:16 87:2
 105:4 109:16,20
 115:14 118:2

hhs.gov/aspr 39:12	40:3 41:4 42:6,11	impacts 15:22 53:6	50:18 66:11	inhibitor-resistant
Hi 23:18 62:3	43:21 44:19 47:10	93:19	indicating 5:12	57:5
Higgs 91:19,20	47:14 48:2,11	imperfect 19:9	123:17	initial 17:15 41:16
high 53:13,15	52:3,4,7,14 56:2	implement 12:12	individual 43:22	46:20
higher 29:5 45:1	57:7 58:12 59:12	implementation	56:14	injected 64:2
117:18	59:20 62:14 63:3	87:18,20	individuals 37:16	input 19:3,16 22:8
highest 45:7 105:2	67:4 81:13 97:7	implications 44:15	46:14	22:9,19
high-quality 56:7	97:13 100:4,7	49:2 57:8 93:18	industry 40:5	insert 75:7
high-risk 88:21	106:11,14 109:9	implies 79:20	IND-type 33:6	Inspection 2:4
103:7	109:10 113:3	importance 78:14	infants 47:21 49:15	Institute 2:15,17
high-throughput	118:21 120:6	106:1 108:5	49:17 53:20	Institutes 50:10
58:12	H274Y 52:3	important 13:18	infection 43:22	instructions 10:12
historical 69:18	H5N1 27:6	15:20 19:3 40:10	44:22 49:9 55:17	38:17 99:9
history 12:21 100:5		45:12 46:22 50:1	58:20	insure 53:22
hit 38:12	I	54:5 55:8 68:13	Infectious 2:18	intended 112:21
hoc 90:16 98:3	idea 33:19 61:8,10	81:2 117:4	inflammatory	intense 19:6 30:16
hold 21:22 99:4,6	73:11 85:15 90:20	improve 40:10 57:1	53:10	intent 21:2 113:6
hole 14:6	ideal 68:9	improved 50:5	influence 112:12	intention 122:19
home 96:12	ideally 47:12	53:22 56:20 58:19	influenza 3:12 4:12	intentions 71:6
Homeland 2:2,6,12	identified 40:7 49:5	improvements 56:9	11:6 12:9,17	interest 3:3 9:9,14
homemaker 100:4	49:8 58:8	improves 57:20	24:14 26:18 37:10	9:19 10:3 20:5
honestly 16:4 17:8	identify 39:22	Improving 57:17	39:18 40:4 41:15	38:9
hope 20:20 22:14	40:14 55:10	inactivated 28:5,7	42:21 43:11 44:8	interested 122:10
22:15 69:19 73:5	identifying 40:11	31:1	45:2 51:3,13 52:5	interests 9:17
100:15	IDSA 96:11	incentives 53:21	52:5,7 53:7 54:4	interfere 48:8 71:6
hopefully 5:8	II 37:9	incidents 36:17	55:2 56:3,21 57:5	interim 97:11
hoping 18:15 101:3	III 37:13	inclined 66:5	58:11 62:4 71:4	interject 91:4
hospitalizations	ilk 96:6	include 18:17 41:3	97:3,6,19,21	internationally
45:8 51:5	ill 37:10 52:14	49:12,14 56:5	98:10,16 112:21	12:5
hospitalized 51:9	106:21	58:9 89:7 104:16	116:11	internet 5:4 38:19
52:14 57:17,18	illness 56:18	110:20	inform 33:4 50:14	interval 80:6
hospitals 106:13	117:17	included 98:4	55:14,15,17 66:3	intervals 33:13
host 15:6	immediately 5:2	104:13	information 4:22	intervention
hosted 4:12	immunization 19:5	includes 52:21	9:3,15,17 24:3,10	112:13
hour 109:3	19:7 48:17 49:7	including 9:3 17:22	25:8 42:3 58:14	intranasal 41:20
Hugh 7:13	62:21 80:16	30:13 53:1,13	58:14 63:13 65:17	intravenous 37:13
human 25:7 40:2	immunize 81:5	incomplete 46:21	69:8 75:8,13,18	52:12 54:13 92:3
106:2	immunogenicity	incorporated 98:6	76:7,9 84:21 86:3	introduce 87:14
humans 37:9	33:3,7,12 49:9,12	increase 56:6	98:5 103:5 124:2	introducing 11:19
hurricane-like	50:14 66:2,9 68:1	107:11	informational	introduction 38:9
105:2	71:20 76:7 78:8	increased 52:11	124:1	inventory 35:8
hurt 82:8	79:1,6	58:2 107:8	informed 61:22	invested 94:5
hyperlink 39:4,7	immunologic 53:6	increasing 58:5	81:3 84:20 93:13	investigations
H1N1 3:12 4:10	53:9	incurs 46:3	100:15 112:16	107:11
12:13 14:17 15:5	immuno-compro...	index 45:21	infrastructure 13:3	invite 24:8
21:5 25:21 26:2	89:18	indicate 6:19 99:9	104:13,16 107:1	invited 11:10 40:5
26:10 36:16,18	impact 15:20 58:19	indicated 30:8 34:1	inhibitors 42:7	124:11

involved 8:13
61:20 86:17 115:3
involves 15:4
isolated 36:17
issue 10:2 18:7 19:3
88:18 90:1 103:5
109:4 112:5
114:18 118:21
issued 97:8,11,15
issues 12:18 14:14
15:7 16:6,12 34:3
54:6 74:15 87:22
107:19 108:10
iteration 84:6
IV 37:16 42:21
91:21

J

J 1:13
James 1:15,15 6:5
6:6 76:15,15 82:1
82:10,11,18 91:3
91:3 93:9,9
111:20 112:4
118:14,14 121:5,6
123:3,3,14
Jay 84:21 87:8,15
108:16
Jeff 105:21
Jim 6:5 76:15
82:10 91:3 93:9
121:5 123:3
job 16:18 124:20
Joe 7:4
John 1:9,14,19
2:17 3:10 6:3,7
8:10 22:3 23:20
25:13 31:7 38:7
59:7 60:5 61:6,7
63:5 65:3 66:5
67:9 68:22 75:4
77:17 80:10 81:1
92:12 104:19
107:13 109:22
110:14 115:20
118:15 121:3,9
124:22

John's 81:10
join 10:9 11:15
87:12
joined 23:10
120:14 122:8
JOSEPH 2:3
July 1:6 10:16,21
12:3 39:8 80:17
99:22
June 4:10 13:16
17:8 35:15 39:21
56:2 60:8 67:3
97:16 98:18
Justice 2:10
Jutro 2:11 7:21,22
J.D 1:13

K

keep 78:19 80:1
97:9 117:16
keeps 99:1
Kelley 101:16,17
101:20
Ken 6:1 61:6 94:13
121:1
KENNETH 1:14
kept 68:14
Kerr 6:22
key 38:13 39:22
40:11,14 41:1
42:5 43:14 44:13
51:1 55:6 81:4
108:1
keypad 21:19,22
99:17
keys 16:10,11
kind 19:6 23:8
97:21
kinds 17:17 20:18
knew 100:19
know 13:6 14:3,4
14:22 17:5,15,19
18:2,18 19:8 20:3
22:13 23:12 30:7
34:14,18 35:7
36:4 65:11 69:6,8
75:21 76:19 77:13

80:12 85:16 87:15
92:7 93:3 94:7,15
97:6,18,21 108:15
108:16 120:13
122:8 123:18
124:8,11
knowing 69:16
knowledge 12:9
50:18
known 13:5 69:4

L

lab 71:17
label 75:7
laboratories 43:15
43:19 44:7 55:8
55:13,15 56:11,16
57:2,9,14,22 59:5
107:17,18
laboratory 44:6
55:20 59:3 107:16
laborious 44:16
labs 106:16
lag 72:4
lamp 16:11
land 115:17 116:13
landed 114:12
larger 45:18
largest 85:9
Larry 6:22
late 4:19 37:20
53:10 71:7 88:15
latest 70:20
Laurie 13:8
lawful 66:20
Lawrence 7:21
laws 9:14
lead 52:11 60:3
87:17
leader 12:6
leaders 50:7
learn 122:18
learned 4:18
leave 91:19 95:2
112:14 120:8
leaving 10:7
leery 66:8

left 39:1 95:12
109:3
Legal 2:10
Leigh 2:22 3:4,19
3:22 4:4 16:21
86:15 110:4,4,17
123:21
lesser 64:1
let's 38:4 59:19
71:14 109:1
110:14
level 12:8 55:14,16
76:5 90:4 111:14
111:14
levels 57:10
Libby 91:20
license 30:20 68:5
licensed 20:9 26:18
46:7,8 58:6,15
66:13
licensing 49:3
licensure 30:21
50:2 58:7,16
light 86:3
likelihood 89:19
limit 20:15,15
95:16
limited 18:17 28:21
29:8 55:18,22
107:7
limiting 96:12
106:15
limits 107:6,9
Linden 7:14
line 10:13 11:13
34:13 50:22 67:7
69:16 76:8 86:16
87:8 99:10,15
lines 17:12 18:5,12
linking 114:21
list 110:15 124:12
listed 48:9
listen 21:15
listening 88:3
124:13
literally 75:10
little 16:4 17:10

26:13 99:4
live 28:18 29:18
31:2 41:20 49:4
local 12:16 35:14
108:7 117:8
logistical 48:18
62:22
logistics 82:3
logs 29:5
long 12:21 64:4
75:21 116:7
longer 33:6
long-term 101:6
117:10
look 13:12 17:3,6
18:11 20:22 22:9
29:14 70:3 85:6,7
104:10 124:15
125:6
looked 18:4 116:16
looking 13:7 16:10
26:22 29:12 34:17
91:9 104:9 123:6
lost 69:13 107:3
lot 13:21 19:9
24:18,19 62:16
63:7 90:8 101:21
113:15
lots 86:3
love 74:21
low 27:9 43:21
lower 26:19 51:7
Lurie 3:7 11:21
12:5,14 13:5,9
22:4,11 25:4 38:8
122:7,10,20
Lushniak 7:15
Lyn 90:16

M

Mac 121:7,8
MacVITTIE 1:18
6:7
magnitude 77:13
maintain 98:22
Major 1:19
majority 9:2

making 3:13 4:11 10:6 13:21 14:9 15:16 40:3 41:13 46:20 48:19 92:6 98:2 102:15 105:14 119:16	8:9 10:1,17,19,22 11:8,11 13:13,15 17:9 24:3 25:17 30:16 33:18 39:8 41:2 61:8,20 66:17 67:3 80:17 84:17 86:19 91:22 92:4 94:14 97:16 122:17 125:5	93:9,14 94:3,6,11 94:13,18,20 95:2 95:10,11,13 96:4 99:2 101:12,18 102:10,20 103:9 104:3,18 105:6,18 107:13,22 108:17 108:22 109:22 110:2,9,17,22 111:5,6,20 112:2 112:4,17,19 113:12,13,19,20 114:7,8,13 115:5 115:13,19,22 116:9 117:22 118:14,17 119:10 119:12,13,15,19 119:20 120:16,18 120:20,22 121:2,4 121:6,8,10,12,14 121:16 122:2 123:3,8,14,21 124:18	65:20,21 66:7,15 66:19 67:20 73:20 mid-September 47:12 64:13 80:19 migrating 58:10 mild 101:4 Milligan 8:1 million 20:1 28:12 28:14 30:5 31:11 31:12,22 32:4 35:10,16,18,19,20 35:22 36:2,3 47:3 72:9,13 73:14 74:19 77:20 81:6 81:9 86:9 millions 31:19 77:11 81:17 102:4 109:17 mind 90:13 minimum 49:5 71:21 118:1 minority 95:4 minute 91:13 99:6 minutes 5:9 24:12 44:13 91:16 109:2 missed 68:19 missing 85:17 90:10 mist-like 29:16 mitigation 15:10 mix-and-match 42:1 ML 63:21 66:19 73:20,21,21 MMWR 95:22 MMWRs 115:1 modeled 89:9 modelers 117:13 modeling 50:12 moderator 1:9 8:11 110:4 modification 82:20 Modifications 50:17 modify 53:5 modifying 119:7 molecular 57:14	moment 8:15 21:22 65:10 81:14 122:1 Monday 69:12 Mondays 69:13 monitoring 48:10 87:21 106:10 108:10,14 monovalent 47:10 81:18 109:18 month 24:4,11 25:12 71:8 77:14 86:14 87:6 93:4 122:16 months 35:15 41:11 52:9 86:15 123:5 morbidity 52:11 morning 11:1,2 99:21 122:9 mortality 51:6,10 52:11 motion 77:17 109:11 111:20 118:6,15 120:4 move 14:17 15:15 31:6 33:19,20 35:3 36:19 38:3 50:19 61:16 66:19 75:2 91:13 92:1 moved 30:16 moving 33:21 36:21 104:15 MPH 3:4,19,22 MSPH 3:7 Mullen 104:6,7 multiple 49:22 84:13 mutation 52:3 mute 64:16 88:2 M.D 1:12,12,15,19 1:20,21,22 2:2,6,9 2:13 M.H.A 1:17 M.P.H 2:2,9,13,22 M.S 1:13
managed 13:1 management 57:16 106:6 108:8 managing 106:19 manner 48:14 manufacturer 27:17 28:4 29:13 33:21 54:14 103:20 manufacturers 18:9 25:22 102:14 103:14 manufacturing 26:17 28:1 48:5 markers 54:11 marvelous 124:20 Mary 16:21 Maryland 39:21 mass 17:22 master 111:4 matter 97:10 100:17 119:2 MATTERS 3:2 MAZANEC 87:5 McMurray 7:20 MD 3:7,13 mean 16:15 18:7 60:16 67:6 69:21 73:8 91:11 114:19 meaningful 25:17 means 29:10 47:21 84:2 mechanism 90:13 mechanisms 59:1 90:7 medical 2:13,17 15:10 45:11 Medicine 39:2,13 meet 15:5 43:16 meeting 1:3,8 4:8 4:18,22 5:7,10 8:7	meetings 9:21 39:7 39:8,15 86:4 114:20 122:4 123:18 124:1,4 member 1:9 5:18 5:20,22 6:2,4,6,9 6:11,13,15 7:1,5,7 7:16,19,22 10:5 22:3 23:4,13,16 23:22 31:7,16 32:3,8,10,14 34:5 34:10,12,18,22 35:1 38:1,6,15 39:17 59:8 60:5 60:15,20 61:1,2,4 61:6,18 62:8,18 63:5,17 64:8,14 64:20 65:5,9,16 65:22 66:4,21 67:8 68:3,18 70:10,13,16,19 71:13,14 72:4,10 72:12,18,20 73:2 73:17 74:5,13,22 75:4,14,16,17 76:2,15,18 77:3 77:16,18 78:6,11 78:22 79:3,8,14 79:16,19 80:4,10 80:22 81:11,20 82:1,2,9,10,15,18 82:19,22 83:3,4,9 83:14,16,17,19,22 84:1,5,9,14 85:1,4 86:5,10,11 87:1,7 88:5,12 89:1,14 89:22 90:12,15,22 91:3,12 92:10,12	members 1:10 2:1 9:1,12,15,22 10:9 11:2,14 13:6 22:22 23:10 32:9 59:17 110:12 119:22 121:22 Member's 9:20 mental 13:2 mentioned 98:3 104:22 116:22 messages 42:5 met 28:20 122:8 methodologic 54:9 methods 11:9 40:6 Mexico 24:17 MF59 32:7 100:8,9 100:21 101:7 Michaud 2:13 7:1,1 microgram 31:12 34:14 41:19 47:15 49:13 63:21 64:12 64:22 76:5 79:5 83:11 84:7 93:1 micrograms 30:22	65:20,21 66:7,15 66:19 67:20 73:20 mid-September 47:12 64:13 80:19 migrating 58:10 mild 101:4 Milligan 8:1 million 20:1 28:12 28:14 30:5 31:11 31:12,22 32:4 35:10,16,18,19,20 35:22 36:2,3 47:3 72:9,13 73:14 74:19 77:20 81:6 81:9 86:9 millions 31:19 77:11 81:17 102:4 109:17 mind 90:13 minimum 49:5 71:21 118:1 minority 95:4 minute 91:13 99:6 minutes 5:9 24:12 44:13 91:16 109:2 missed 68:19 missing 85:17 90:10 mist-like 29:16 mitigation 15:10 mix-and-match 42:1 ML 63:21 66:19 73:20,21,21 MMWR 95:22 MMWRs 115:1 modeled 89:9 modelers 117:13 modeling 50:12 moderator 1:9 8:11 110:4 modification 82:20 Modifications 50:17 modify 53:5 modifying 119:7 molecular 57:14	moment 8:15 21:22 65:10 81:14 122:1 Monday 69:12 Mondays 69:13 monitoring 48:10 87:21 106:10 108:10,14 monovalent 47:10 81:18 109:18 month 24:4,11 25:12 71:8 77:14 86:14 87:6 93:4 122:16 months 35:15 41:11 52:9 86:15 123:5 morbidity 52:11 morning 11:1,2 99:21 122:9 mortality 51:6,10 52:11 motion 77:17 109:11 111:20 118:6,15 120:4 move 14:17 15:15 31:6 33:19,20 35:3 36:19 38:3 50:19 61:16 66:19 75:2 91:13 92:1 moved 30:16 moving 33:21 36:21 104:15 MPH 3:4,19,22 MSPH 3:7 Mullen 104:6,7 multiple 49:22 84:13 mutation 52:3 mute 64:16 88:2 M.D 1:12,12,15,19 1:20,21,22 2:2,6,9 2:13 M.H.A 1:17 M.P.H 2:2,9,13,22 M.S 1:13
N				

N 3:1 4:1	56:14 57:11 58:2	O	ongoing 14:16 71:4	overwhelmed 56:1
NAI-resistant 57:6	63:10 75:21	O 4:1	119:2	95:1
name 5:12 11:18	113:14,21,22	object 111:2	onward 18:11	
110:15	114:1 119:6	objection 111:7	open 10:14 19:19	P
names 6:17 23:12	neuraminidase	objective 9:20	opened 117:3	P 4:1
79:11 110:15	42:7 57:4	observational	OPENING 3:6	package 75:7,20
narrow 119:14	new 11:20 24:7	51:10	operator 10:12	77:10
nation 73:1	53:3,4 67:4	obtain 8:22 106:14	21:17 99:8,12,14	page 39:1,6,6 62:19
national 1:1 2:9,11	112:12	obviously 14:12	101:13,15 102:22	81:13 109:8
2:14,15 3:5,18 4:5	news 26:6 29:19	60:19 86:20 103:7	104:5 105:20	pan 101:5
4:13 11:7,22	Nicholas 101:16	occur 41:7 44:21	108:20	pandemic 3:11
12:13 19:20 23:17	Nicki 85:22 86:10	56:4	opine 66:17 67:12	4:12 11:6 12:8,17
35:12 39:4,19	Nicole 3:7 11:21	occurred 101:9	opined 68:5	24:5,14 39:18
50:7,10 92:16	night 108:11	occurring 103:12	opinion 51:14 75:1	40:22 41:6 43:5
93:7 108:8	NIH 32:17,18	October 18:19 45:4	opportunities	43:17 44:8,11
NBSB 5:6,14 8:16	33:21 34:1,6 74:9	72:3	125:7	47:7 48:20 56:8
9:3 10:16,19	91:20 103:14	odds 82:6	opportunity 4:16	92:20 93:8 95:7
23:10 39:5,7,14	noise 94:17	offer 51:15	17:5 85:18 124:16	105:3
44:9 81:15 86:14	nominal 75:19	offered 24:6	opposed 111:14	pandemics 43:6
90:7 92:4 99:21	nominally 73:11	offering 118:2	opt 73:15	45:21
104:10,15 109:15	non-adjuvanted	Office 2:5,7,9,10,13	optimal 49:21	papers 28:6 64:15
114:17 115:17	82:13	2:18 39:1 50:8	58:20	parallel 33:9
116:18 121:19	non-vaccine 20:11	Officer 2:13 87:16	optimally 18:22	parameters 71:15
NBSB@HHS.gov	normal 26:9 43:13	officers 8:20	option 30:19 52:12	parenthetically
5:1	55:4	Official 2:21 4:7	options 52:10 94:1	41:5 48:3
necessarily 64:3	normally 29:6	8:8	order 3:3 18:1	Parker 1:19 6:8,9
76:10	nosocomial 106:22	officials 46:12	77:13 106:14	121:9,10
necessary 18:12	note 33:17	95:17	organize 86:1	part 15:4 74:20
50:15	notice 10:15 122:13	officio 2:1 11:14	originally 97:15	81:3 95:21 106:10
need 15:18 16:2,7	123:17	59:18	Oseltamivir 20:14	106:12
16:13,14 20:21	novel 40:3 41:4	oh 14:1 35:4 77:4	36:18 52:1,8	participant 34:8
21:10 22:18 37:16	43:2 44:19 47:10	okay 23:5,18,22	Oseltamivir-resi...	88:2
49:18,21 53:14	48:1,11 52:4,7,13	26:9 34:10,22	52:2,13 53:18	participate 11:11
54:10,12 57:16	53:16 56:2 57:7	38:21 39:16 59:16	ought 19:21 63:13	participation
58:8 60:18 63:1	58:12 62:14 100:3	63:3 71:13 75:3	83:13,21 113:9	121:21 124:10,15
76:22 79:10 82:12	100:7	79:10,15,18 82:9	outbreak 44:3	particles 31:2
88:9 90:9 93:16	nuance 88:13	83:17 91:12 94:20	outbreaks 55:10	particular 90:1
94:7 97:9 110:14	nucleic 57:15	99:2,18 108:18	56:17	117:18 118:21
111:18 112:13	number 18:4 21:19	109:1 110:2,6,11	outdated 60:13	particularly 52:1
114:2 122:17	21:21 26:15 29:7	110:16 111:7,9,9	outside 16:2 98:4	89:17 95:6 100:21
123:1 124:1,4	34:2 45:18 77:11	120:12 121:17	overall 59:11	119:4
needed 18:13 19:19	80:12 81:2 99:16	125:4	overcome 54:10	parts 83:7
25:1 47:12 49:6	101:9	old 73:1	overhead 123:9	passages 27:13
49:17 59:2 64:1	numbers 26:20	Olympia 101:11	Overview 3:9 8:16	passaging 27:10
needing 20:19	28:9 85:13 101:8	once 18:22 21:3	23:20	Pat 6:14 23:2 64:9
needle 102:13	NVAC 108:8	39:2 61:15 86:14	overwhelm 43:13	64:10,17 66:21
needs 43:16 56:12	123:19	87:6 97:15 122:16	55:3	77:18 79:16,18

84:1 89:14 115:5 118:18 119:11 121:15 122:2 pathogenesis 51:13 pathway 31:20 49:3 53:15 54:7 54:18 pathways 53:14 patient 91:15 99:7 patients 37:10 42:10 43:22 51:9 51:16,18 52:15 57:17,18 Patricia 2:18 8:1,4 110:16 PATRICK 1:22 Patty 5:16 7:12 120:14 Pavia 1:20 3:13 6:10,11 23:4 32:10,15 35:4 38:4,6 39:17 60:5 60:20 61:2 68:3 68:17 71:14 72:4 73:17 75:17 80:22 84:14 86:5 89:1 89:22 90:15 104:22 105:6,7 107:22 113:13,20 116:21 118:12 121:11,12 123:21 paying 16:13 38:3 PDF 39:10 peak 45:4 46:1 pediatric 36:8,9 103:12 people 13:22 14:6 16:18 18:14 20:1 22:19 24:10 27:4 52:20 59:18 60:21 61:21 62:1 74:9 74:21 79:11 87:15 89:7,10 93:3 97:18 100:15 107:1 111:2 people's 20:5 67:1 Peramivir 37:11	perceived 46:10 percent 36:10 percentage 73:13 perfectly 69:11 perform 49:19 58:4 performed 9:5 period 10:11 11:4 person 13:12,13,13 79:11 personal 9:16 15:9 persons 53:19 perspective 46:13 pertains 106:5 Peter 2:11 6:21 7:21 PFUs 31:2 Ph 2:15 pharmacokinetic 54:1 Phase 37:9,13,18 PHD 3:10 philosophy 98:7 phone 8:4 10:13 21:16 23:11 99:9 99:15,22 114:6 124:13 phrase 79:20 phrased 70:11 Ph.D 1:14,14,18,22 2:4,11,17,18 pick 73:7 85:2 piece 73:18 87:20 pieces 90:10 pipeline 18:7 place 38:20 48:10 62:11 98:11 116:12 places 84:13 plan 12:12 93:3 planned 103:16 planning 17:16 24:19,20 45:15,20 73:4 87:14,18 105:13 116:22 Plant 2:3 plate 114:3 platforms 56:20	58:13,15 play 55:8 72:14 playing 82:5 please 5:4 6:18 11:17 21:18,21 23:12 63:1 65:5 79:11 99:13,16 100:17 101:4,10 101:18 102:8,10 102:21 104:4 105:19 pleasure 11:19 pledge 22:7 plus 42:2 pneumococcal 43:12 55:2 pneumonia 51:4 point 18:10,19 30:9 31:17 33:22 34:20 44:16 62:2 63:9 63:14 66:7 77:14 79:2 80:7,7 81:9 84:5,20 88:18 113:2 114:9 119:11 122:14 points 38:13 40:11 43:14 91:17 111:11,18 120:3 policy 14:16 16:3 34:2,3 48:14 poorer 28:22 29:1 population 36:13 42:20 48:6 80:13 82:4 85:3 103:8 populations 73:22 89:18 portion 71:5 72:15 74:18 80:2 86:8 113:7 portions 59:20 posed 43:17 position 76:13 possess 106:16 possibility 10:1 67:4 93:2 possible 33:15 47:11,19 53:11	81:22 possibly 57:6 post 16:11 88:19 97:19 posted 4:19 10:16 Poster 2:15 7:7,7 post-exposure 42:9 42:13 potential 9:18 17:22 46:5 49:21 100:8 potentially 17:13 20:16 72:14 94:15 106:20 112:11 114:20 power 48:12 practical 73:21 Practices 49:7 80:16 precedes 113:3 precise 77:11 Precisely 123:14 prefer 66:8 pregnant 47:22 49:16 53:20 54:1 preparation 17:21 prepare 17:21 78:3 prepared 96:16 123:2 preparedness 2:7 3:8 11:20 12:9,18 13:3 14:13 39:13 40:17 preparing 17:18 40:12 prerogative 92:17 prescribing 75:8 75:13,18 76:8 presence 95:7 present 1:10 2:1 5:18,20,22 6:2,4,9 6:11,13,15 7:5,11 7:19,22 43:17 53:7 presentations 40:4 presiding 1:9 press 21:18,21	99:16 pressing 23:1,3 presumably 78:7 80:17 pretty 16:1 68:7 108:2 114:3 prevailing 79:4 prevention 2:8 13:2 50:9 51:9 previous 36:14 58:10 79:12 104:12 114:22 Previously 12:19 primarily 12:22 primary 44:1 48:8 49:10 55:14 principal 12:20 14:14 principles 40:15 44:14 47:9 48:21 52:21 56:5 prior 10:19 24:18 24:20 prioritization 72:22 73:9 104:8 priority 104:14,16 105:12 private 106:16 privilege 13:10 probably 20:8 26:5 27:16 36:1 37:19 66:17 70:2 86:13 90:19 97:14 98:12 problems 100:13 procedural 109:4 procedures 61:17 proceed 15:17 48:7 77:10 120:1 123:16 proceedings 125:9 process 22:9 27:10 40:11 50:5 54:3 76:1 84:6 92:6 98:11 processed 72:11 processes 26:17 processor 81:12
--	---	---	--	---

procure 102:17	protective 15:9	puzzle 90:11	R 4:1	54:18,21 59:2
procurement 36:21	protocol 58:11	p.m 1:9 4:2 125:10	radar 16:14	receive 21:3 27:2
procuring 28:15	provide 4:17 9:15		RADM 87:11 96:21	received 9:12 10:20
produce 27:12 37:4	14:16 17:4 25:5	Q	raised 118:19,20	10:21 11:1 99:11
47:2 63:20	25:10 49:11 55:10	QA 65:14	randomized 51:8	99:21
produced 30:4	56:18 102:6 116:7	QC 65:14	range 64:5,5 75:3	receiving 21:4
58:15 100:14	providers 52:9	quality 13:1 42:18	117:6	recognize 108:4
producers 93:12	106:13	51:6,7	rapid 43:20	recognized 12:6
producing 26:1	provides 41:15	quantify 55:9	rapidity 123:4	recognizing 106:1
27:8 30:2	providing 18:21	quantity 41:16	rapidly 33:22	recommend 77:8
product 29:3,11,16	22:7 27:20 62:13	quarantine 95:11	46:17 56:1,22	93:7
30:8,12,22 37:15	102:15	question 19:19	75:19 83:21 98:20	recommendation
50:3 63:21 66:14	provisions 106:9	21:18,20 61:19	117:3	69:15 84:3 91:8
67:22 72:7 80:19	106:13	62:5 64:9,21 67:1	rates 44:22 45:7	92:18 93:19 94:9
92:3 112:8,12	public 3:15 4:14,15	67:1 69:1,1,2,14	rational 41:21	96:10 104:15
production 25:22	10:9,10,17,17	69:20 73:7 74:20	reach 68:9	108:3
26:20,21 27:15	11:3 12:6,18 13:2	78:15 80:5 82:12	reactions 101:8,9	recommendations
28:10,12,17,20	24:17 39:2,14	84:15 91:5 92:16	read 11:3 38:10,12	8:22 9:5 14:1,2
37:2 41:22 60:1	43:15 46:5,12	95:5,8,9,20 96:1,6	44:13,16 52:19	17:16 18:21 21:3
63:8 100:12,13	55:7,13,21 56:11	101:16,20 102:21	99:20 101:7	44:18 50:15 60:12
101:22	56:16 57:1,9,19	102:22 103:3	109:10 110:13	93:17 97:2,4,17
productive 25:18	57:21 87:19 91:14	104:5,7 105:19,20	120:2	98:17 104:9,12
products 26:4	91:15 99:7,19	106:4 108:2	readiness 57:19	105:9,9,12 108:11
29:14 43:10 46:8	107:18 108:19	114:17 115:4	reading 50:21	112:8,15 115:10
54:20 67:11	117:8	118:3,4 119:14,14	ready 61:11 70:7	121:18
professional 9:16	public's 22:9	119:18 122:4,15	70:20,22	recommended 36:6
program 2:9 19:6,7	pulled 35:11 76:10	questions 11:12	reagents 44:6 58:3	recommending
41:8 50:7 101:10	pulling 94:1	21:14 22:2 31:5,9	59:4 107:16	14:5 48:16 62:20
Programs 58:3	purchase 36:7	31:10 32:9 35:2	real 9:18 46:10	82:14 115:15
progress 32:11	purchased 19:17	52:20 67:7 87:13	98:5	recommends 44:9
40:8 63:8 68:14	purchasing 54:16	99:15 108:19,21	realizing 20:8	81:16 109:16
98:2	purify 26:13	116:5 121:22	really 13:10,18,20	reconvene 84:17
progression 42:8	purpose 24:13	queue 10:13 101:14	14:6,8,10,15 15:3	record 125:10
project 125:3	purposes 8:6 17:16	queuing 99:19	15:19 16:18 17:9	recordations 98:19
projections 40:9	45:6,15 62:19	quibble 120:10	17:10 18:11 20:22	recuse 10:5
prophylactic 113:8	77:6 105:14	quick 94:12	29:1,4 30:16 60:9	reducing 42:8
prophylaxis 42:10	pursue 41:8 109:21	quicker 75:22	69:14 105:8 108:1	reference 27:20
42:14 55:19 88:20	pursuing 54:17	quickly 18:13	108:4 122:15	refraining 10:6
88:20 89:12 97:20	69:15	90:12 112:4	123:5 124:21	regard 84:7 92:5
98:8,19 113:1,18	purview 67:10	117:11,15	realm 67:14	92:18 112:10
116:6 117:15	put 15:2 17:15 29:9	Quinlisk 5:16 8:4	reason 27:4 36:13	regarding 19:3
119:3	73:15 79:21 88:22	120:14	66:22 74:13 78:2	103:3
propose 63:18 77:7	95:20 99:3 100:1	quite 16:4 17:8	reasonable 54:7	regards 104:8
78:12 98:17	113:22 115:6	66:17 92:5 97:5	71:11 116:3	Register 10:15
116:15	118:15	107:2	reasons 19:15	86:18 122:14
protect 101:11	putting 101:5	Q&A 22:1	108:6	123:17
Protection 2:12	122:13 125:2		reassess 43:8 44:5	regular 19:14
		R		

122:13,15 123:18	require 38:13 50:6	Richard 2:6 6:22	115:20,21 116:1	salute 25:15
regularly 19:16	requirement 23:8	7:2,13 23:16,18	116:22	sample 58:17
regulations 9:14	requires 58:22	Richter 7:13	Robinson's 59:22	samples 55:10
53:21	research 2:12,17	Rienzo 1:13 5:21	robust 42:17	Saturday 73:14
regulatory 30:13	12:22 50:9	5:22 120:21,22	role 14:12 22:7	save 20:16 95:16
74:1,15	reserving 111:15	right 16:6,6 18:19	38:7 55:8,14	111:7
rejoined 87:17	resistance 20:14	19:17 20:1,12	80:18	saw 27:9 48:2
related 15:8 67:10	36:18 51:21 55:12	27:3 31:13,15	roll 5:13,15 6:20	Sawyer 2:22 3:4,19
101:21 106:6	58:1,21 89:8 97:8	35:1,2 36:5 38:1	23:11	3:22 4:3,4 5:16,19
relation 91:18	98:21	39:6 59:19 62:9	rolling 69:22	5:21 6:1,3,5,7,10
relations 48:13	resistant 43:3 52:4	65:22 68:22 71:3	room 75:15 87:2	6:12,14,16,21 7:3
relative 114:19	52:8	71:4 72:16 83:3	Rose 1:21 6:12,13	7:6,9,12,17,20 8:1
relatively 74:17	resolved 54:7 58:9	83:15,22 84:9	34:12,12,18,22	22:21 23:5,15,18
113:1	resource 107:12	90:9 95:10 98:15	63:5,6 64:10	38:8 68:16 79:10
relay 25:2 44:9	resources 40:21	103:22 111:9	70:13,19 71:13	79:15,18 99:18
120:7	55:19,20 57:21	113:12 114:7,13	74:13 78:6,6,22	110:6,11 120:12
release 65:14	respirators 106:4	117:22 119:12,15	79:8,12 80:4 83:9	120:17,19,21
released 48:4	respiratory 56:4	119:18 120:4	83:15,16,19 84:5	121:1,3,5,7,9,11
Relenza 35:11	respond 123:11	rights 54:16	88:12,12 92:12,13	121:13,15,17
37:17	response 2:8 3:8	risk 46:10 48:1	95:2,11 110:17	122:7 123:15
relevant 40:7	11:21 12:13 14:13	49:8 51:16 66:6	111:5 112:19,19	124:5 125:4
rely 16:19	39:13 40:15 45:5	67:19 69:4 73:19	113:19 114:8	saying 14:5 22:5
remain 48:20 54:6	48:20 49:10 56:8	74:2,16 76:20	115:19 118:19	62:20 77:4 92:8
remains 52:16	60:9 89:20	77:5 98:9 104:20	119:10,13,19	says 99:21
remarks 3:6 22:5	responses 40:16,19	117:18	121:13,14	scale 26:2
25:15	responsibility	risks 46:4 94:8	Rosemary 2:10	Scannon 1:22 6:14
remember 26:16	67:15	risk-benefit 76:13	7:18	6:15 64:8,10,20
35:9 72:21 97:18	rest 21:16 110:18	Roberta 1:13 5:19	Rose's 64:11,21	65:9,16,22 66:21
remind 11:16 74:8	restate 64:19	120:19	roster 22:1	66:22 77:18,19
83:5	restating 46:16	Roberts 111:8	roughly 71:19	79:3,16,17 82:19
remote 8:5	60:7	Robin 18:3 24:6	round 71:21	83:3 84:1,2 89:14
remove 60:18	Restriction 58:16	32:10,17 60:10	routes 53:1	89:15 115:5,6
reopening 116:4	restrictions 58:6,10	65:4 66:4 68:3	RPH 3:10	118:17,18 121:15
repeat 99:8	58:13	69:1,22 70:16	rule 43:21	121:16 122:2,3
replenishing 35:20	Retired 1:15,19	71:14 74:7 84:15	RULES 3:4	scenarios 20:4
report 9:7 24:14,21	retrovirals 106:3	91:21 93:15 94:11	rustling 64:15	45:20 73:5 117:2
28:19 30:9 38:5	return 51:4 111:10	95:14 102:7,11	Ruth 1:12 5:17	schedule 26:21,22
38:11,12 44:9,18	reversed 92:3	115:20	115:13 120:14	30:3 86:14
59:21 60:8,18,21	review 3:11 21:6	Robinson 18:3 24:6	R.Ph 1:14	scheduled 10:11
62:19 63:4,6	38:4 59:9 114:1	25:10,13 31:14,21		scheduling 123:12
67:16 104:19	reviewing 24:2	32:6,16 34:16,21	S	scheme 72:22
110:19 120:1,5	revise 63:1	35:4,6 65:3,4,6,10	S 4:1	SCHOENBURG...
reports 9:4 26:7	revisit 19:14 78:20	65:19 66:1,11	safety 2:19 33:7	92:15
represent 117:8	85:19 86:2	71:2 72:3,5,17	46:9 48:10 49:12	Schonfeld 103:1,2
representative 6:18	revisiting 78:14	74:7,8 77:21	50:13 54:1 108:10	103:15
representatives	117:16	102:7,8,11,12	108:14	school 47:21 61:15
40:5	Rice 101:11	103:9,11,19	sake 71:22	61:22 62:10,14,16

schools 62:7	27:22 29:6 33:14	services 12:22 25:7	Simultaneous	91:1,1 94:22
school-age 61:10	36:17 39:3,8	40:2	92:14	111:17 116:10,14
school-based 48:17	50:21 69:3 76:16	serving 16:18	simultaneously	118:19
62:21	78:2,20 79:6	session 25:9	15:12	speaker 21:16
Schuchat 87:8,11	91:16 104:1,10	set 16:3,21 17:15	sir 101:15 118:8	speakers 59:10,18
87:12 96:21	107:8 124:12	49:6 66:2 71:22	sit 13:22	speaking 89:22
Science 1:1 3:5,18	seed 27:11 29:2,4	81:16 109:16	sites 29:13 71:10	speaks 117:13
4:5,13 11:7 12:1	seeds 27:18	sets 33:2	situation 9:8 20:17	special 2:10 9:13
39:2,5,14 92:17	seeing 88:15	setting 61:15,22	22:17 44:11 86:21	11:19
scientific 48:22	seeking 22:8	119:4 124:20	six 12:7 86:15	specific 10:3 40:14
54:9	seeks 86:6	settings 44:2 62:10	size 84:19 85:3,13	40:16,20 47:7
Scientist 2:4	seen 20:13 73:4	62:14,16	sizes 80:13 85:11	88:13 105:8,10
scientists 39:20	115:10	severe 51:7,16,19	skip 52:17	112:15 119:13
Scioli 7:4	sees 63:1	53:19 54:8	Skvorak 2:17 7:10	specifically 53:18
scratched 114:15	segment 59:17	severely 37:10	7:11	59:20 103:6
screen 16:14	segments 59:11,12	52:14	slight 72:6	speech 92:14
season 37:20 52:2	63:4	severity 45:16,21	slightly 66:15	speed 18:6 24:4
101:3	select 39:1	117:17	slow 26:8,8	48:12 51:3 68:15
seasonal 26:17	selecting 41:16	shake 16:4 17:9	slower 41:22	113:16
43:11 45:2 55:2	selectively 44:13	shape 46:10	slowing 58:21	spend 90:8
56:3 57:5 61:11	Senate 12:3	share 13:19 58:3	small 47:16 74:17	spent 12:7,14
61:14 62:5,15,17	sending 121:18	sharpen 17:13	113:1	split 36:20
69:6 71:4 92:19	sense 34:13 67:2	Shawn 7:21	smaller 33:4,11	sponsor 52:16
93:5 96:13 97:5,7	76:3 78:21	shelf 41:18	47:18	sprayer 29:16
second 29:2 33:13	sensitive 42:6	shifting 96:17	social 105:1	sprayers 29:9
33:14 41:6,22	sensitivity 43:21	short 14:8,19 20:2	societal 45:19	spread 45:14
44:20 45:1,3	48:12	52:13 114:19	somebody 63:19	106:22
73:12,18 74:20	sent 10:18,22	shortage 96:8	64:16 85:12 91:1	squaline 100:8
77:17 80:7 88:15	sentence 64:18	shortly 69:7	109:12 114:10	staff 15:2
106:12 109:12	83:2,2 84:10	show 51:11	116:16 118:5	stage 42:9 53:10
110:1 118:16	sentiment 79:4	showing 74:10	somewhat 45:17	stakeholders 39:21
secondary 51:4	separate 42:2	118:22	sons 100:5,10,18,22	stand 32:13
secondly 82:11	September 28:16	shows 82:6	soon 32:19 83:12	standard 65:20
Secretary 3:8	30:9,12 32:1	sick 106:20	sooner 33:11	66:13
11:20 12:4,20	34:17 41:5,7,18	side 39:1,6	sorry 13:11 24:1	Standards 2:15
14:13,15 21:8,9	45:5 49:5 60:4	sign 22:13,14	35:4,5 44:2 87:12	9:10
25:3,4,6 36:6	65:2 67:6 70:8,21	signals 48:13	sort 15:6 19:11	stands 94:8
39:12 44:10 61:20	70:22 71:1 72:1,7	significance 106:6	62:12 67:12 73:10	Star 88:2 99:16
109:6 120:7	75:19 76:10 78:5	106:19	74:11 89:3 117:12	start 13:14 30:10
section 81:13 83:7	78:8 81:8,19,21	significant 113:22	sound 47:20	32:19,22 65:11,12
88:11 91:18 109:8	82:7,16 83:10	similar 45:16 46:8	sounds 63:6,9	71:12 76:11 79:22
115:1 120:7	88:14,16 109:20	74:10	82:18 88:16 92:20	103:21 113:21
sections 83:5	sequencing 85:5	similarly 89:11	space 2:14 124:2	started 27:7 36:16
sector 55:21,22	seriously 30:14	simple 5:5	speak 5:11 10:14	36:17 72:7 78:13
Security 2:2,6,12	serve 4:6 8:8,11	simplicity 48:18	11:18 22:4,22	116:4
23:17	served 12:19	62:22	23:2,3,7 28:13	starting 32:20 33:9
see 13:11 26:9	Service 2:4	simplified 41:8	63:2 75:5 85:22	starts 118:22

state 11:18 12:16 84:22 95:3 106:15 117:8 122:10	strong 54:15 stronger 118:6 strongly 16:1	supply 42:4 43:9,13 44:6 54:19,22 55:4 59:3 98:21 107:4	70:8 77:21 78:13 95:15 117:6 118:10	58:2 65:14 106:7 106:14 107:7,10
stated 10:17 46:15 60:6 92:1	structure 16:22	supporting 42:19	Tamavir 97:7	tests 32:12 43:20 44:4 57:15 58:6 65:14 107:16
statement 78:17	struggled 89:11	sure 11:17 16:5,6,9 20:20 23:6 38:16 61:1 87:13 113:19 117:5	Tamiflu 35:11 36:8 37:17	thank 4:3 7:3,9 8:2 22:5 23:15,19,21 24:1 25:13,14 38:2,8 39:16 59:8 61:4 86:5 88:1,5 92:10 94:20 101:12 102:20 104:3 105:18,22 108:22 112:17 121:20 124:7,19 124:22 125:4,8
states 17:18,20 26:19 29:14,20 35:12,13,17,20 36:3 37:4 40:1 41:3 67:5 81:5	studies 30:20 32:12 32:18,20 33:2,3,4 33:7,11 34:1 37:12,18 41:9 42:1 49:10,14,16 49:20 50:2 51:10 59:2 71:20 74:9 84:11 103:12,15	surface 114:16	tangent 99:3	target 17:13,17 19:20 28:9 81:3 84:19 105:10,16
status 60:1	study 59:1 103:3	surge 43:12 55:3	targeting 47:16	task 15:3,13 21:5,8 87:18
statute 8:19	sub 58:13	surrogate 54:11	task 15:3,13 21:5,8 87:18	tasks 85:2
stay 86:22	subgroups 15:7	surveillance 15:8 57:4 106:9 107:21	tasks 85:2	technical 74:15 116:13
step 54:5	subject 9:13 75:11 82:21 98:20	sustain 56:9	technology 2:15	telecon 10:7
STEPHEN 1:12	subjects 116:21	sustained 57:11	teleconference 4:9 4:14 10:10 39:9	teleconferences 86:14
sterility 65:13	subsequent 41:2,11 69:20	sworn 12:4	teleconferences 86:14	therapeutic 43:10 55:1 88:11 112:22 113:5
Steve 5:17 75:1 76:2,16 79:14 81:20 82:11 86:11 109:22 122:3 124:18	subsets 73:8	symptoms 42:8 51:3	telephone 21:19,22 84:17 99:17	therapeutics 59:13
stockpile 35:12,17 35:21 36:11 112:20 113:1	substantial 42:19 51:2,15	syringe 102:13	TELEPHONIC 1:3	therapy 53:3
stockpiled 113:6	substantially 88:14	syringes 102:3	telephonically 1:9 123:7	thing 14:10 18:2 23:9 35:3 37:6 67:12 72:6 77:19 88:16 93:6 112:9 118:9 123:22
stockpiles 58:21 96:12 117:12	substituted 120:2	system 93:21 100:13	tell 14:7 21:2 32:11 33:8,11 68:10 70:1 86:15 101:13	things 16:4,6,7 18:15 19:11 20:19 20:20 22:14 27:14 33:8,15 38:2 60:17 61:7 69:3 69:10,11 71:3 74:15 78:12 87:21 105:7 117:3 122:22
stop 21:13 31:4 38:16 59:6 77:4	substitution 109:13	<hr/> T <hr/>	tens 31:18 77:10 81:17 109:17	think 13:19,21 15:15 16:12,14,15 16:17 17:11,14,19 19:12,19 20:21 25:9,18 44:15 61:12 62:5,12 63:12 64:17 67:1 67:6 68:2,3,9,12 69:2 70:12 73:3,7
story 28:6	subunit 41:19 47:14 49:3	table 36:5 88:22 111:12	tentative 92:21	
strain 27:22 28:3 66:15 68:6 93:8	sub-studies 49:15	tabled 111:12,21 111:22	tentatively 123:11	
strains 26:12,14 27:20 42:6 43:3	sub-typing 58:14	tables 26:7	term 33:6 114:19 61:16 63:8 75:2 76:13,13 87:20 91:7,10 111:15 123:10 125:2	
strata 49:22	success 28:21	tackle 114:4	terms 9:9 42:18 61:16 63:8 75:2 76:13,13 87:20 91:7,10 111:15 123:10 125:2	
strategic 35:12 47:1,6 50:4 95:9 111:14 112:5 119:14	Sue 7:17	tactical 95:8	terrific 13:18	
strategies 46:18 78:15	suggest 44:22 86:1 86:1	take 5:13 8:15 17:3 18:16 21:5,7,11 21:14 33:17,18 39:5 40:16 44:12 47:13 59:9 67:19 75:21 77:5 80:11 88:6 110:3 114:18 115:2,14 117:21 120:13	Terrorism 2:7	
strategy 3:12 4:11 89:4 90:3 95:12	suggested 98:22 105:15	taken 21:11 35:21 123:20	Terry 2:2 23:14	
stream 96:2 99:5	suggestion 86:13	takes 27:13 115:17	test 106:17	
strengthened 56:14	Summary 3:12 38:10	talk 59:19 88:7 96:15 99:19	tested 37:9	
stretch 42:3	summer 17:2	talked 61:8 68:20	testing 41:8 54:1	
	summit 17:20 61:19			
	Sunday 70:3			
	super 29:4			
	supplement 95:22			
	supplies 41:10 84:11 106:3			

73:19 75:16 76:19 76:22 77:19 78:16 80:8,18 81:2 84:2 85:15,18 86:13 87:3 88:14 89:3 89:15,16,16 90:2 90:3 91:6 93:10 93:11,21 94:6 95:5,8 96:9,11,14 96:17 97:14 98:1 98:10 100:9 101:8 105:8 107:6,18,22 108:2 111:18 112:6,11,13 113:7 113:20 114:16 115:3,9 116:15 117:12 119:1,19 123:5 124:5,21	113:11,22 118:7 122:4 timely 25:6 48:14 times 45:1 101:2 123:22 timing 44:10 45:3 titer 29:6 today 9:8 10:10 11:7,11 13:11,15 21:3 24:2 39:15 63:7 68:12 80:12 121:21 124:13,13 125:6 today's 39:8 toddlers 47:21 told 80:1 tolerance 20:6 Tom 6:7 121:7 Tony 62:3 90:18 96:22 98:12,16 116:9,18 118:8 tool 44:2 top 86:22 107:1 122:22 total 35:22 totally 91:4 112:5 113:14 touches 125:2 to-date 21:12 22:12 track 30:6 traditional 33:6 traditionally 97:1 traditional-process 50:3 transcribed 5:10 transcriber 11:17 transparent 49:1 transpired 24:11 25:11 travel 114:20 treading 68:20 treatment 35:10,16 51:19 52:6,10,22 53:10,16 55:18 89:12 90:5 97:20 98:8,18 113:18 Treatments 53:5	tremendous 15:2 trials 34:3 37:10 51:8 69:9 89:9 trigger 76:9 94:2 triggers 19:12 true 46:12 60:15 63:18 try 8:6 16:21 91:9 93:18 trying 20:14 85:8 110:15 turn 9:7 23:20 38:4 59:6 95:17 124:3 turning 111:8 turns 14:18 18:1 27:1 twists 14:18 two 10:19 15:1 20:1 28:11 29:5 33:2 45:1 49:16 65:13 69:13,13 71:2 72:21 83:5 86:4 93:12 94:15 100:4 110:20 122:16 123:6 type 34:2 38:22 58:17 91:7 typically 47:15 95:21 118:11 typing 56:21 58:11 58:14	51:18 undergoing 37:18 underlying 45:11 47:8 undermines 106:22 underneath 39:3 understand 23:9 27:17 44:17 70:19 71:15 73:18 78:16 100:11 understanding 51:12 112:20 understood 23:6 68:22 79:22 undertook 74:9 underway 40:18 60:14 unfold 20:5 unfolds 112:9 unfortunate 58:18 United 26:19 29:14 29:20 37:4 40:1 41:3 67:5 unknown 45:3 upcoming 39:7 44:3 66:16 101:3 update 24:7 25:10 25:21 35:6 38:2 91:21 103:3 updated 97:9,14 98:12 99:1 updates 15:5 24:10 122:22 updating 97:17 upside 74:17 upstairs 21:16 up-to-date 68:14 urgency 67:2 use 18:22 20:7,10 20:15 37:7 42:13 51:5 53:19,19 55:18 57:20 58:20 58:22 76:21 81:19 84:4 89:5 92:2 95:5,16 96:18 97:12 98:8 100:8 107:4,8 109:19	111:13 112:15,21 112:22 113:5,8 116:22 117:10,10 119:7 useful 25:5 84:16 uses 20:15 37:13 USPHS 2:22 utility 43:18 57:12 utilization 107:12 U.S 2:15,17,19 41:17 48:6 54:15
V				
vacation 8:5 vaccinate 19:22 62:11 vaccinated 46:14 vaccination 17:14 17:22 47:6 49:10 62:14 76:11 101:10 vaccinations 20:6 48:11 vaccine 2:9 17:17 18:14,18,22 19:18 19:21 25:21 26:2 28:13,16,18 29:18 30:20 31:1 41:4 41:13,17,19,20 42:2,4,11 43:11 43:12 46:1,3 47:2 47:11,17 48:5 49:4,4,14 50:7,13 55:2 59:13,20 60:1 61:10,11,14 62:6,15,17 63:4,8 64:2,22 66:12 67:10 68:5 69:21 74:2 76:4 78:1 81:6,13,18 82:4 82:13 83:7,18 84:4 85:9 87:14 87:17 88:7,9 89:20 91:5 92:19 92:20 93:6 95:6 96:19 97:3 100:9 100:11,19,22				

101:6,22 102:19 103:3 104:8 106:7 106:10 108:9,13 109:9,10,18 112:10,14 113:4 118:13 119:1,5 120:7	volume 64:1 vote 3:18 77:7 110:3,5,7,8,12 111:8 120:1,11,13 VOTING 1:10 VRBPAC 66:16 67:10 85:20	ways 29:15 66:20 website 4:20 98:22 wedded 73:3 weeds 89:4 91:11 95:8 week 17:15,19 32:21 48:4 85:21 92:7 103:16,17 weeks 14:21 18:16 28:11 33:1 65:7,8 65:9,13 69:14 81:8 94:15 122:16 123:6 weigh 80:20 89:2 93:15 weighed 96:11 welcome 10:8 19:2 19:13 welcoming 12:1 went 18:4 29:2 36:14 125:9 we'll 17:5 19:15 20:19 33:10 37:3 38:11 78:8 87:3 111:7 120:1,8 we're 15:11 16:8,12 17:3 18:11 19:8 19:13 22:17 24:20 29:12 34:17 37:3 52:19 74:3 77:12 82:5,7,13 86:21 88:7,14,17 90:3 91:13 94:14 105:13,14 107:7 108:4 112:6 113:10 114:4 116:8 122:21 we've 15:2 19:17 20:13 38:16 83:5 84:12 88:13 101:21 109:7 whatnot 111:16 who've 89:7,8,9 wide 117:6 widely 43:18 52:8 57:13 widespread 117:10	wiggle 75:15 Williams 6:22 7:2 Willie 7:6,8 willing 16:22 90:20 willingness 20:5 22:6 window 28:17 winds 18:10 wish 62:1 74:6 118:2 wishes 25:10 women 47:22 49:16 53:20 54:2 wonder 89:6 wondering 60:22 102:5 106:8 word 81:12 wording 67:18 81:10 82:20 115:6 120:10 work 9:2 16:9 22:6 22:12 24:15,15,22 31:19 44:8 51:4 60:8,9 89:8 94:5 96:2 99:5 101:21 114:22 117:7 118:11,13 124:16 125:1 worker 106:5,9,19 workers 38:5 85:12 104:14,17 106:2 106:21 108:14 working 3:12 4:12 9:6 11:6,8 12:7,15 13:7,17 14:20 15:6,11 16:15,20 17:1 20:22 22:10 22:18 39:18 40:13 87:19 116:11 120:5 124:3,9 works 27:22 world 48:7 82:8 worried 100:6,7 worse 22:16 46:2 worsening 20:16 worth 46:16 60:7 63:12	Worthington 2:18 7:12 worthy 60:17 wouldn't 72:10 73:2 84:3 88:3 WRAP 3:21 written 10:20 104:20 wrong 63:19 93:11 www.hhs.gov/aspr 38:22 WWW.HHS.gov... 5:5
vaccines 11:9 15:9 26:18 27:7 28:5 31:5 32:12 40:6 46:7,7,7,9 47:14 55:3 56:19 100:3 100:16 103:13 119:8	<hr/> W <hr/> wait 66:8 70:14 77:4 92:22 113:10 115:14 waiting 68:1 want 5:3 11:16 13:14,19 14:7,10 17:18 25:14,15 27:21 38:7,15 50:19 64:19 65:3 67:13 73:18 78:17 80:19 82:19 83:4 83:7 84:3 91:13 95:3 96:1,22 99:11 107:20 108:16 109:2,3 110:7 114:4,10 116:10 118:19 124:3 wanted 23:6 30:7 36:19 61:2 73:10 74:8 87:14 92:6,9 92:15 123:8 wants 41:4 109:12 116:14 118:5 war 16:17 Washington 101:11 waste 76:21 wasted 74:3 watch 119:21 wave 41:6,22 42:12 44:20 45:1,3,5,17 46:2 67:4 88:15 95:7 113:3 way 5:5 10:3 16:17 32:2 38:21 77:8 79:20 90:1 93:22 104:19 116:8	validation 58:4 variety 61:21 108:6 various 80:13 98:3 varying 74:11 venues 73:6 verb 64:18 verbal 120:9 versions 39:10 versus 89:12 Veterans 12:16 vial 75:7,10 79:21 vials 93:5 view 117:19 views 117:6 vigorous 111:6 vigorously 43:6 111:2 Vince 7:1,3 VINCENT 2:13 viral 55:9,11 virion 26:12 virus 12:13 18:10 26:3,7,8,11 27:7 27:11,15,20 28:21 29:4,6 31:1 36:15 40:4 45:14 48:2 52:3,7 53:18 57:7 100:4,7 118:22 119:5 viruses 27:11 36:19 41:15 44:19 56:3 56:4,21 57:6 Vittie 121:7,8	<hr/> Y <hr/> year 36:22 37:21 62:15 97:5 years 12:7,15 40:18 66:14 90:8 96:8 yesterday 12:4 yield 27:16 42:2 yields 27:9 28:7,22 106:20 young 45:8 53:20 younger 45:10 youngest 73:22	
			<hr/> Z <hr/> Zanamivir 36:7,14 52:12 54:13 91:22 113:16	
			<hr/> \$ <hr/> \$80 86:8	
			<hr/> 0 <hr/> 0.5 63:21 73:20	
			<hr/> 1 <hr/> 1 70:22 73:21 1.4 27:1,3 1.5 27:3 1:30 10:11 1:50 10:11 1:59 119:20 10 31:1 10th 10:21 12:3 101 3:15	

11 35:10,18,20 119 30:5 32:4 12 109:2 12:00 1:9 12:02 4:2 120 3:18 125 3:21 13 3:6 15 30:22 31:12 34:14 41:19 47:15 49:13 63:21 64:12 64:22 65:20,20 66:6,14,19 67:20 73:19 76:5 79:5 83:11 84:7 93:1 15h 76:10 15th 18:20 30:11 30:12 31:17 34:19 60:2,4 63:10,16 64:11 65:2,17 66:3,7 70:2,7,8,14 70:20,21 71:1,8 71:11,16 72:1,3,7 72:8,13 73:15 75:20 77:10 78:5 78:7,18 81:8,19 81:21 82:17 88:14 109:20 17 1:6 17th 10:16 39:9 99:22 18 28:12 31:11 18th 60:8 18th-19th 39:22 18-19 4:10 18-19th 13:16 19th 60:9 193 28:14 31:11 1976 101:10 <hr/> 2 <hr/> 2 81:13 109:8 2:05 125:10 20 36:10 81:6 2004 27:8 74:9 89:13 2005 96:14	2007 116:2 117:14 2009 1:6 4:10 24:16 26:10 36:18 39:22 41:5,18 43:1 44:21 56:2 81:19 109:20 22 3:9 24.5 35:16 25 73:21 <hr/> 3 <hr/> 30 71:19 75:22 30th 28:16 30-day 72:4 300 47:3 35 35:19 36:2 38 3:13 <hr/> 4 <hr/> 4 3:4 409 28:3 44 35:22 36:2 <hr/> 5 <hr/> 5 62:19 50-50 36:20 <hr/> 6 <hr/> 60 72:9,13 73:13 74:18 77:20 80:2 81:9 60-80 31:22 600 20:1 <hr/> 7 <hr/> 7th 31:1 <hr/> 8 <hr/> 80 72:9 77:20 81:9 80-20 36:20			
--	--	--	--	--